

Transcript of Proceedings

VETERANS ADMINISTRATION

ADVISORY COMMITTEE ON HEALTH-RELATED EFFECTS OF AGROCHEMICALS

Unedited

Washington, D.C.

September 24, 1979

Acme Reporting Company

Official Reporters

1011 K Street, N.W.

Washington, D.C. 20004

(202) 628-4999

1 THE VETERANS ADMINISTRATION

2 * * *

3 ADVISORY COMMITTEE ON HEALTH-RELATED EFFECTS
4 OF HERBICIDES

15 The Veterans Administration
16 Room 119
17 810 Vermont Avenue, N.W.
18 Washington, D.C.

19
20
21
22
23
24
25
10:00 a.m.
Monday, September 24, 1979

1 ADVISORY COMMITTEE MEMBERS PRESENT:

2 PAUL A. L. HABER, M.D., Chairman
3 Assistant Chief Medical Director
4 for Professional Services
5 Veterans Administration
6 Washington, D.C.

7 GERRIT W. H. SCHEPERS, M.D., Vice Chairman
8 Medical Service
9 Veterans Administration
10 Washington, D.C.

11 IRVING B. BRICK, M.D.
12 Senior Medical Consultant
13 National Veterans Affairs
14 and Rehabilitation Commission
15 The American Legion
16 Washington, D.C.

17 J. DAVIDSON ERICKSON, D.D.S., Ph.D.
18 Center for Disease Control
19 Birth Defects Branch
20 Atlanta, Georgia

21 PHILIP C. KEARNEY, Ph.D.
22 Chief, Pesticide Degradation Laboratory
23 Department of Agriculture
24 Beltsville, Maryland

25 ROBERT H. LENHAM
26 Special Projects Officer
27 Disabled American Veterans
28 Washington, D.C.

29 CAROLYN H. LINGEMAN, M.D.
30 Carcinogenesis Testing Program
31 National Cancer Institute
32 National Institutes of Health
33 Bethesda, Maryland

34 JOHN A. MOORE, D.V.M.
35 Associate Director for
36 Research Resources Program
37 National Institute of Environmental
38 Health Sciences
39 Research Triangle Park, North Carolina

1 ADVISORY COMMITTEE MEMBERS PRESENT (Con't):

2 SHELDON D. MURPHY, Ph.D.
3 Department of Pharmacology
4 University of Texas Medical School
5 Houston, Texas

6 WILLIAM HALPFRIN, M.D.
7 Medical Officer
8 Robert A. Taft Laboratories
9 4676 Columbia Parkway
10 Cincinnati, Ohio

11 ADRIAN GROSS, Ph.D.
12 Chief, Toxicology Branch
13 Hazard Evaluation Division
14 U.S. Environmental Protection Agency
15 499 S. Capitol Street, S.W.
16 Washington, D.C.

17 MAJOR PHILLIP G. BROWN
18 Office of the Air Force Surgeon General
19 Bolling Air Force Base
20 Washington, D.C.

21 STEERING COMMITTEE MEMBERS PRESENT:

22 RICHARD A. LEVINSON, M.D., Chairman

23 JOHN J. CASTELLOT, SR., M.D.

24 STRATTON APPLEMAN

25 LYNDON E. LEE, M.D.

26 J.C. PECKARSKY

27 FRED CONWAY

28 MARGARET KILDUFF

29 DONELD HOWELL

30 PAUL LEGOLVAN, M.D.

31 LAWRENCE HOBSON, M.D.

32 DR. WILLIAMS

33 DR. ROBERT LOVE

1 I N D E X

	<u>ITEM:</u>	<u>PAGE</u>
3	DR. RICHARD A. LEVINSON	12
4	DR. LYNDON LEE	16
5	DR. LAWRENCE HOBSON	18
6	MS. MARGARET KILDUFF	19
7	DR. JOHN CASTELLOT	20
8	WILLIAM DONALD HOWELL	22
9	FRED CONWAY	24
10	DR. McGOLNAN	26
11	LT. COL. WILLIAM WOLFE	92
12	MAJOR ALVIN YOUNG	106
13	:	
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

P R O C E E D I N G S

2 DR. HABER: Good morning. I would like to
3 convene the second meeting of the Veterans Administration
4 Advisory Committee on Health-Related Effects of Herbicides
5 on time at 10:00 o'clock, September 24, 1979 in conformance
6 with the duly published notice of such meeting in the
7 Federal Register. I would like to call the meeting to
8 order and to give you the benefit of some observations
9 that we have made since our last meeting.

10 I want to thank those of you who have made it
11 your business to attend, and to assure you that we are
12 looking forward to the deliberations of this today in
13 an endeavor to get further clarification and much needed
14 information about the problem of health-related effects
15 of herbicides.

16 I would like to first point up a couple of
17 business items. Dr. Allen just called us at 9:15 this
18 morning, Mr. Williams tells me. His flight was delayed
19 from Madison and he could not get another until this
20 afternoon, which, of course, would put him here to late
21 to participate in the meeting. Therefore, he will not
22 be in attendance today, and his absence will be noted and
23 his presence missed. We will, of course, endeavor to
24 get him complete information, and his input into our
25 deliberations and decision making.

1 I would like to call to your attention the
2 fact that some specific progress has been made. The
3 minutes of the last meeting have been developed, and
4 action papers have been developed. We can get copies of
5 the minutes of the last meeting and indeed, this or
6 subsequent meetings to individuals. Our facilities do not
7 permit us at this time to get tapes of the entire
8 minutes of the meeting, although we will have a tape
9 available for anybody who wishes to listen to it, a
10 verbatim tape in the central office. We can make arrange-
11 ments for that, but we cannot, unfortunately, make
12 arrangements to reproduce those tapes and send them to
13 everyone. That would be a prodigious job. If the minutes
14 do not satisfy your needs, then communicate with my
15 office so that you can listen to the tape here in the
16 central office would be possible.

17 I would like to talk to you about where we
18 are. Our resolve to solve this problem has only been
19 intensified. There have been some additional activities
20 that have been undertaken which I will endeavor to call
21 to your attention momentarily. The evidence mounts up
22 on both sides of the issues.

23 I would like again to reiterate our conviction
24 that veterans who are suffering the long term effects
25 of herbicide exposure are being examined and if treatment

1 is needed they will be treated at VA hospitals without the
2 necessity for establishing causation or indeed any
3 linkage.

4 This is a reflection of our general policy to
5 treat ailing veterans regardless of the cost whether
6 it be service connected or not and should they require
7 hospitalization, they will be so hospitalized and treated.

8 The adjudication process for compensation to
9 people who believe themselves to be the victims of
10 untoward effects because of exposure as a process which
11 does require either the establishment of a causal link
12 between exposure and subsequent ill effects, or a common
13 time frame. If it can be established that the origin
14 of the symptoms and disabilities a veteran now suffers, if
15 the origin of those symptoms or disabilities can be
16 established through a period during which the veteran
17 was in active service, the causation is deemed to be
18 established.

19 In other words, if it happened to him while he
20 was in service, if there were abnormal pathophysiological
21 signs or symptoms which can be established to have begun
22 during his service period, the causation is assumed to
23 be present, and we would then proceed to grant this
24 individual service connection.

25 With that information, let me just say that we

1 have had continued recourse to the Press in various ways
2 a number of articles have appeared, an interview in a
3 recent issue of Science Magazine that I have had on the
4 Health Related Effects of Exposure to Herbicides, in a
5 recent issue of Science, the official journal of the
6 AAMNA Association for the Advancement of Science;
7 an interview with the New York Times; several interviews
8 on the television and radio by myself and others have
9 occurred.

10 Our administrator is absolutely delighted to
11 the idea that the Veterans Administration will help to
12 solve this issue; and indeed other branches of government,
13 as you will hear in this today have redoubled their
14 efforts to help solve this vexing problem of the
15 health related effects of herbicides.

16 I would like to call to your attention a number
17 of activities which have taken place. We as you know,
18 continue to refer to the Armed Forces Institute of
19 Pathology, specimens which are required during the course
20 of normal diagnostic procedures, and the Armed Forces
21 Institute of Pathology codifying these and retaining
22 these.

23 In other words, if we get a sample of tissue
24 from an individual who was exposed to Agent Orange we
25 are sending these to the Armed Forces Institute of

1 Pathology for their further study and classification.
2 The obvious intent here is to be able to form a tissue
3 bank so that if we subsequently discover any tissue
4 abnormalities which are in common that we can go back and
5 re-examine all those tissues that we have acquired and
6 sent to the Armed Forces Institute of Pathology to see
7 whether they show these pathologic changes.

8 In a number of cases I have in hand a letter
9 from the Armed Forces Institute of Pathology to the
10 director of our pathology service, dated August 15, 1979,
11 in which Captain Coward, Director of the Armed Forces
12 Institute of Pathology acknowledges receipt of these
13 tissues and details their examinations, a number of
14 tissues from the VA, but from other armed forces
15 hospitals as well, from Willford Hall Hospital in Texas
16 and from the Great Lakes Medical Center in Illinois.
17 So that is going on, and we expect to have further
18 dialogue with the Armed Forces Institute of Pathology
19 about this.

20 Secondly, we have undertaken to code as you
21 know, our circulars to require now that hospitals which
22 are collecting information about, VA hospitals, about
23 applications to them for treatment and diagnosis of
24 disabilities incurred in connection with exposure to
25 health related effects; those hospitals are required

1 to send us quarterly detailed reports of those exam-
2 inations including the medical history, the physical
3 examination, and the laboratory examinations that were
4 performed. We are now in the process of coding that
5 which is a very laborious time consuming procedure.
6 And we have had special people detailed into the central
7 office from our field hospitals to help us do this.

8 Dr. Levinson will undoubtedly have more to
9 say about that when he gives you the report of the Steering
10 Committee.

11 Later this week we will have a meeting of the
12 responsible physicials at the various VA hospitals who
13 have been assigned the task of coordinating the field
14 activities entailed in investigating the alleged harmful
15 effects of herbicides at VA hospitals. At every VA
16 hospital a physician has been designated in some
17 instances there is more than one, to be the center point
18 of information about this problem. And we have, as I
19 have indicated to you in the past, made it a point to
20 inform these individuals, these physicians, about the
21 information we have. Circulars prepared by
22 Dr. Schepers and Dr. Castellot and Dr. Levinson, myself,
23 and other agents in the central office informing these
24 physicians about what is going on have been prepared and
25 issued to the field. Hotline telephone conversations

1 with them have occurred in the past.

2 We are later this week going to have the first
3 conference in which all of these physicians will be
4 brought together. And the purpose of this conference is
5 two-fold. First of all, to instruct them in the latest
6 developments of what we have undertaken, and what is
7 going on in the field to keep them informed of what is
8 going on. And secondly, to have them share with us
9 their experiences and their suggestions, ideas, and
10 elaboration of problems they face in trying to deal with
11 this. We hope that there will be recourse to some of
12 the Advisory Committee to their knowledge at this
13 meeting some of them will be addressing the group. Other
14 experts as well and representatives of some of the
15 Veterans organizations have been invited to address the
16 group as well. So we hope that much good will come out
17 of this conference, the first of its kind ever held in
18 which we can share with these individuals who are bearing
19 the burden of the responsibility at the field level on
20 what is going on.

21 So a number of things have happened and we
22 are pursuing a number of other investigations. We have
23 engaged the services of an outstanding epidemiologist
24 in the Veterans Administration-- let me correct that, who
25 is not in the Veterans Administration, but the VA has

1 engaged the services of Dr. Williams of the Johns
2 Hopkins University, who is an outstanding epidemiologist
3 to consult with us and give us advice, which we will
4 hope will guide us in the right direction.

5 Other efforts have been made, and you will
6 hear more from our group today.

7 At about 11:00 o'clock we will begin the
8 discussion of the position papers which were prepared in
9 response to questions posed by the VA Steering Committee.
10 And hopefully, these will eliminate the problem. I must
11 emphasize that these position papers are not in the final
12 stage of preparation yet. We are going to have to do
13 more work on them and hopefully the discussion that we
14 will be able to have here will help us complete that
15 process.

16 I think that launches us, and I think without
17 further ado then, I am five minutes late, I will turn
18 to the report of VA Steering Committee's activities.
19 And I would ask Dr. Levinson to come up and talk with us
20 about this.

21 DR. LEVINSON: Thank you very much. I am glad
22 once again to be able to address this group concerning
23 the activities of the VA Central Office Advisory Committee.
24 You remember from my last discussion with you that the
25 Advisory Committee is intended to coordinate the

1 activities of the entire VA Agency with regard to
2 Agent Orange and its attendant problems. And within the
3 time allotted to us today I will try to introduce most
4 of the people involved in the committee so that you can
5 see the range and scope of activities in which we engaged.

6 Just to review it in slightly more detail some
7 of the things that Dr. Haber has already mentioned, so
8 far we have received reports on 3100 veterans who have
9 been examined in our hospitals under our Agent Orange
10 program. The next set of reports is due within a week
11 and we expect substantially more will have been included
12 in this group.

13 Remember that these are veterans in the VA patient
14 population who served during the Vietnam War from the
15 period between 1962 and 1970, and who agreed to parti-
16 cipate in our program. We have not yet gone to outreach
17 although that may follow at some later point.

18 The information that we have received in my
19 opinion, has been quite good. We are endeavoring to
20 improve the format in which it is collected and we will
21 be engaged in that process in the near future.

22 In the meantime, we have devised a coding
23 sheet which will allow us to make the next big step in
24 this process to computerize the information so that it
25 can be readily studied and so that we can follow the

1 veterans who have been entered into the study.

2 As Dr. Haber mentioned we are using this as
3 the basis for a potential expansion into a full fledged
4 epidemiological study of these veterans; and I think that
5 we have laid the groundwork for a successful study.

6 The Agent Orange Conference also was mentioned.
7 A number of members of the Advisory Committee will
8 participate as speakers, and I thank them for that in
9 advance. We will also have a number of other people from
10 the outside who will be addressing the group on various
11 aspects of attempting to draw connection between Agent
12 Orange exposure and subsequent illness.

13 We have set up or are in the process of setting
14 up a formal process liaison with all the other federal
15 agencies that are concerned with the study of Agent Orange.
16 Thus far, we have been attempting to follow most closely
17 the activities of the Air Force and their proposed study
18 on the ranch-hand group, but over the next several weeks
19 and months we will establish hopefully, an equally firm
20 liaison with each of the other appropriate federal
21 agencies, and if indicated will expand our attempts at
22 liaison to other groups.

23 We have made further progress in our study of,
24 pilot study, of levels of dioxine in humans, and we will
25 have Dr. Lee report on that in a minute.

25 Mr. Pecharsky is a member of our committee

1 from the Department of Veterans Benefits and is not here
2 today; but speaking for him, I would report to you that
3 there have been 650 claims filed thus far under that
4 program. One has been alluded as being validly
5 connected with Agent Orange exposure. It was a patient
6 with chloracne. Nineteen others have received compen-
7 sation but the Agent Orange exposure was considered
8 incidental to the process for which they were connected.
9 So the number of new people applying for compensation has
10 not very much increased since the time of our last
11 meeting.

12 We continue to work with the Department of Defense
13 on attempting to correlate data on spraying in Vietnam
14 with troop movements. And this has been a very cumber-
15 some and difficult process, but an essential one if we
16 are to complete an epidemiological study. We will hear
17 more about these efforts. They are proceeding but slowly
18 and with some difficulty, not because of lack of
19 cooperation but because of the complexities of the
20 process.

21 I might introduce some of the other members so
22 that they can briefly bring you up to date on some of
23 the specific areas that they are involved in. I might
24 mention before I do this that the minutes of the
25 Advisory Committee are always typed and duplicated.

1 They are sent out to quite a list of people, apparently
2 they contain information of interest. And I want to let
3 you know that they are available if you should want to
4 see them, both the current minutes as well as the older
5 ones from the last 8 or 9 meetings -- I am sorry -- the
6 Steering Committee. If I said Advisory, I was wrong,
7 Steering, that I represent.

8 Let me call first on Dr. Lyndon Lee to bring us
9 up to date on the fat biopsy study.

10 DR. LEE: It is well known this is a segment of
11 a series of commitments that the VA has made to the
12 Congress and to the public in order to see if we can't
13 delineate some of the problems in this Agent Orange
14 exposure.

15 At the present time we have fat biopsies on
16 34 total volunteers. Twenty of those are study cases,
17 11 are controls, and 3 are volunteers from the active
18 Air Force who had had 1,000 or more documented hours of
19 exposure to Agent Orange. Of that 34 biopsies taken,
20 21 have been reported by the chemist and 13 are presently
21 in process by the chemist in order to give us an assay
22 by his mass spectrometry techniques. It has been our
23 thought that perhaps because we are working in the levels
24 of one part per trillion it might be well to have some
25 kind of parallel assessments and this is being worked out

1 with the EPA. We have sent them a randomized sample of
2 those 34 people-- in fact, 8 of those who have been
3 exposed. That randomized sample is broken into
4 exposed people, those who have had no exposure but
5 are controls, and we have substituted in that also a
6 known sample which is with known zero exposure in dioxine
7 and one we have purposely contaminated in order to check
8 on EPA's use of different techniques from the mass
9 spectrometry.

10 At the same time we have asked our DVB people
11 to go back in their records and in the military records
12 and see if they can verify for us what exposures may have
13 been experienced by the people who were, in fact, study
14 cases. All we have is the word of these people that they
15 were exposed and when and how much and so forth. We
16 would like to double check that with DVB if we can. That
17 is not a simple process.

18 We are also attempting to augment the information
19 from the records both in the hospital and from the
20 material which are coming in here to the Central Office
21 to give us as much as we can get on both laboratory and
22 on individual histories, physical findings and so forth.
23 A paper has been drafted in order to report all of this.
24 It has been circulated to the investigators. It needs
25 now the chemist's statement of his techniques, his

1 processing, his means of reporting and that sort of
2 thing. And it needs in addition, the analysis by the
3 National Research Council's biostatistical participant
4 before we can finish it.

5 We plan a meeting of all of these investigators
6 including the chemist and the statistician in Chicago,
7 sometime in October, probably at the time of the College
8 of Surgeons Conference, because the majority of
9 investigators will be there anyway, and that will make it
10 a less expensive process. And sometime in the week of
11 21-26 October at that meeting we will discuss the various
12 reports. We will break the code from the chemist and see
13 what it looks like from the standpoint of each of the
14 investigators and see if we can't bring that report to
15 final form. And we will make that available for publi-
16 cation for this group as soon as we can.

17 DR. LEVINSON: Dr. Lawrence Hobson spoke to you
18 last time about the VA's research considerations in this
19 area. Dr. Hobson, do you have anything further to add?

20 DR. HOBSON: There is very little more to add.
21 Protocols are attempting to be developed using the
22 veterans who are exposed to Agent Orange in order to study
23 the immunological effects. Principally the difficulties
24 are ones that were reported before and are reviewed each
25 time this is mentioned; namely documentation of actual

1 exposure is extremely difficult. And secondly, there has
2 been a long time lapse in exposure to other substances
3 in the interval so that it is difficult to say who has a
4 significant exposure, who had a significant exposure and
5 who did not get exposed to other agents that might have
6 had a similar or somewhat complicating effect.

7 DR. LEVINSON: Margaret Kilduff, from
8 our Medical Administration Section, would like to show
9 you some of the progress that we have made on the
10 coding of our charts.

11 MS. KILDUFF: Dr. Haber and Dr. Levinson have
12 said the data collection at our field facilities started
13 in our medical records in May of '78. We started the
14 quarterly reporting into Central Office in September of
15 '78. We have about 3,000 of those reports in, and we
16 have had about 7 people in for abstracting the information.

17 On the data items that were designed, we have
18 devised a code sheet which I would like to distribute
19 to the members of the Advisory Committee. And this is
20 based on the information that we gave to our field
21 facilities and we are slowly abstracting this information.
22 It is as Dr. Levinson says a laborious process. We hope
23 to be finished within another month if possible.

24 The data elements may be changed. They are
25 under subject to review at the present time.

1 DR. LEVINSON: Thank you very much.

2 MS. KILDUFF: That is our present status on
3 the registry at the present time.

4 DR. LEVINSON: Additional copies can be made
5 available if anyone feels the need for them. I wonder if
6 Dr. John Castellot could say a few words about some
7 special considerations he wants to present to the
8 committee.

9 DR. CASTELLOT: My comments will be directed
10 toward a more personal vein, if you will. Medical service
11 in the Central Office has something to do with this
12 people problem and one of our responsibilities is pre-
13 paring replies to a great deal of the correspondence
14 that comes in concerning Agent Orange. Fortunately, we
15 don't handle all of it but a significant share of it.

16 Two of the replies from the Central Office
17 contain comments to the effect that the individual problems
18 cited in the letters would be brought to this committee's
19 attention. In one case, this was made as a result of
20 a specific request from the Congressman sending in the
21 letter. In a second case, the Central Office responded,
22 felt this was appropriate. So I would like very briefly
23 to recount these cases with privacy being protected.

24 In the letter from the Congressman, the person
25 involved, of course, was a veteran and he and his wife

1 are having significant marital difficulties, and the
2 individual himself is having problems of a physical
3 nature with skin rash and other things. I won't go into
4 specific details because I don't think it is appropriate,
5 but the Congressman did indicate specifically that this
6 matter would be brought to this committee's attention.

7 I should point out this is just a representative
8 of many letters that we get along the similar vein. And
9 these, of course, will be included, many of them people
10 have already been included in the registry. This veteran
11 is included in our registry.

12 The second letter has another veteran who is
13 also in the registry whose child was born with a series
14 of congenital deformities involving the upper extremities.
15 This particular case was also called to your attention
16 for the reason I mentioned, but it is representative of
17 several of a similar nature that have reached our
18 office.

19 As I said, these two and others will have been
20 recorded in the registry for appropriate analysis later.
21 Thank you.

22 DR. LEVINSON: I neglected to mention Dr.
23 Castellot --

24 DR. MOORE: Could I interrupt?

25 DR. LEVINSON: Sure.

1 DR. MOORE: I am puzzled by the last presentation.
2 I thank you for the knowledge -- to state indeed in
3 compliance with the congressman--I am bringing this to
4 your attention and give us a 15 second dissertation as
5 to what that was all about; and sitting down suggesting
6 to me that you are trying to meet the letter of the
7 request, and I am not sure what the spirit of the request
8 was. I am just puzzled.

9 DR. LEVINSON: This was presented and will be
10 passed out for your review and discussion later. They
11 will be given to you. We are not trying to short circuit
12 the discussion.

13 Dr. Castellot is Director of Medical Service
14 in the VA Central Office.

15 Let's call on Mr. William Donald Howell of
16 Management Support Services to tell us about his liaison
17 with the Department of Defense regarding the spore
18 spraying tapes and military unit history.

19 MR. HOWELL: Dr. Levinson indicated I am from
20 the Office of Management Services. We have overall
21 responsibility for records management policies and
22 procedures in VA. We also have responsibility for
23 liaison with the Department of Defense and other interested
24 government agencies for the exchange of records and
25 information necessary to ensure that the VA Department

1 and staff offices have all the information they need to
2 provide full service and benefits to our veterans.

3 Better than a year ago, we became involved with
4 obtaining information from military service records of
5 veterans claiming exposure to herbicides. It became
6 quickly apparent to us that we were going to need specific
7 information from the Department of Defense and military
8 services, their official personnel records, if veterans
9 claiming exposure to herbicides were to receive proper
10 consideration of their claims.

11 We needed particularly information as to
12 location of areas that were sprayed in Vietnam, dates
13 spraying missions occurred, dimensions of the areas
14 sprayed, and military units if any that were in those
15 sprayed areas.

16 We contacted the Deputy Undersecretary of Defense
17 for Research and Engineering to ask them for the specific
18 information. In response to this request they provided
19 us computer printouts and tapes that had been prepared
20 by the National Academy of Sciences. These tapes
21 identified the locations of and the dates of herbicide
22 missions flown in Vietnam. They also identified the type
23 of agent sprayed, the area covered, and the amount of
24 material sprayed.

25 After we received these tapes and computer

1 printouts we then went to the various history centers
2 for history within the military services and asked them
3 for information on histories of units in Vietnam. These
4 we have obtained.

5 We are also continuing to assist the Steering
6 Committee members in obtaining information from specific
7 military records, personnel records, for instance in
8 Dr. Lee's case, he had 11 people that we researched at
9 the National Personnel Records Center for him.

10 DR. LEVINSON: One of the problems, of course,
11 is that records gathered for one purpose in this case
12 military troop movements and spraying are not necessarily
13 adaptable readily to different purposes, such as
14 epidemiological study. And this is one of the great
15 problems that we face in our future efforts.

16 Let me call upon Mr. Fred Conway to describe
17 briefly some of the areas in which the General Counsel,
18 whom he represents, is involved in this process.

19 MR. CONWAY: Thank you. Primarily, we are
20 involved with two cases, two litigation cases that are,
21 one is in New York and the other is in Washington. They
22 are in the process of the preliminary stages of development
23 right now, one of which is a class action suit brought
24 about by Paul Reutershaw; and the others who are claiming
25 disabilities without exposure to herbicides. In that

1 case the Veterans Administration is not a named party
2 as a defendant, but rather would be a beneficiary of any
3 action that is successful, in that the chemical companies
4 who are the named defendants would be responsible for
5 paying the VA, if successful, for the compensation and
6 treatment that we will be providing these individuals.

7 The other action is an action brought against
8 the Veterans Administration alleging that we have not
9 complied with certain kinds of procedures in development
10 of our policies and our procedures in handling the claims.
11 Both cases, as I say, are still in the preliminary
12 stages. We are nowhere near resolution of them, and no
13 one knows what the outcome will be on those.

14 Another matter, we have had frequent contact
15 with Congress and we are trying to work with the Department
16 of Justice on other matters relating to development of a
17 compensation scheme if necessary, that would handle this
18 kind of a problem in the future if it should arise in
19 other areas. But we are mainly concerned with the
20 Agent Orange problem, and identifying individuals who
21 may have been exposed and devising a system that will
22 adequately and fairly compensate them if the results of
23 this meeting suggest that a cause and effect relationship
24 exists.

25 DR. LEVINSON: Thank you.

1 Let me call on Dr. McGolnan, who is Deputy
2 Director of Pathology SErvices to give us any update on
3 the AFIP registry.

4 DR. McGOLNAN: Dr. Haber has already alluded
5 to the activity of the Armed Forces Pathology, AFIP. This
6 registry was established in September 28, 29, 1978, and
7 provides all pathological material, that is, surgical,
8 etiological, or other similar tissue from veterans with
9 possible exposure to herbicides, will be examined and
10 reported in a customary manner at each medical facility.

11 In addition, a duplicate set of slides, blocks
12 and representative tissue will be forwarded promptly to
13 the AFIP for inclusion in the special registry.

14 At the AFIP each case is evaluated, diagnosed
15 and report of findings sent to the contributor. Cumulative
16 reports are sent to the VA Central Office each month,
17 listing the cases by name, the material submitted, the
18 diagnoses and copies of the report which were sent to
19 each of the contributors.

20 This demographic patholotical data on each
21 case is coded into two systems. The registry is the
22 TERMATRIX system and AFIP computer. When an adequate
23 number of cases have been gathered from this pathological
24 information, it will be integrated with other studies
25 clinical -- clinical laboratories, statistical and

1 epidemiologic.

2 Of particular interest are the following unusual
3 or unique tumors occurring in any organ or organ system;
4 unusually high incidence of a tumor for a particular site,
5 a tumor occurring at an unusually young age, a cluster of
6 similar cases in a particular military unit.

7 As of July '79, 13 cases had been registered
8 and reported. Of these 7 were surgical, 5 were autopsies
9 and one seminal fluid.

10 We have other details on this but this is a
11 general summary of the information. Thank you.

12 DR. LEVINSON: I would like to introduce
13 Dr. Robert Love from our Operations Branch. Dr. Love,
14 thank you.

15 And then last but by no means least, Mr. Alex
16 Cutter. Alex has been very helpful in arranging for
17 our conference which is a large and complex undertaking.
18 He and Dr. Castellot have joined me in planning it and
19 I certainly owe him a debt of thanks for whatever success
20 is achieved.

21 I think this gives you an indication of the scope
22 of activities of the Steering Committee, and our goal
23 is to make coherent the policy alternatives for the
24 Veterans Administration in facing the various challenges
25 of Agent Orange and to undertake certain activities as
assigned which are within our scope of expertise.

Acme Reporting Company

(202) 628-4888

1 Another thing thatwe have done, you will see,
2 this afternoon is we have prepared four additional questions
3 in addition tothe 13 you have, which we feel the agencies,
4 which we feel the agency requires an answer in order to
5 better understand the Agent Orange situation.

6 I would like to clarify before finishing one
7 thing. I do have available in my office past and present
8 minutes of the Sterring Committee and will make them
9 available in case anyone wants them.

10 DR. HABER: O.K. Thank you very much, Dr.
11 Levinson. I think at this juncture I would like to throw
12 the floor open to questions and comments about the reports
13 of the various Steering Committee members to engage your
14 attention to them. I think I will begin with the
15 question you raised, Dr. Moore, and we will make available
16 to the members of the Advisory Committee the details of
17 those two particular cases. It is a question of privacy
18 here that we have to consider; but I think Dr. Castellot
19 understood our obligation to let the Advisory Committee
20 know about this. These cases may be illustrative, and
21 I think the Advisory Committee needs to consider them
22 divorced from identification of the individuals; but there
23 are principles involved which we would like to get your
24 guidance from. And I think your questioning was right
25 on target; and since my packet held those I assumed

1 everybody had them. It turns out I was given information
2 which was not generally true. It will be included.

3 Are there any comments from members of the
4 Advisory Committee or from the attending group about the
5 reports of the Steering Committee?

6 DR. MOORE: Could I request copies of future
7 minutes of the Steering Committee as well as any past
8 minutes. I think it would help me and maybe the rest of
9 the group tremendously to have something in front of us
10 to give us a better sense as to what the VA is about.

11 DR. HABER: Dr. Levinson, would you please
12 see to it that minutes of the Steering Committee are
13 henceforth included in the packets for the Advisory
14 Committee.

15 DR. MOORE: On your veterans examination that
16 you described in which you have 3100 people that you have
17 received into the Central Office, is it possible to
18 receive a copy of the format that is being used on these
19 people?

20 DR. LEVINSON: Yes. The format was, the current
21 format at which does badly need revision, was submitted
22 to us last time as part of a circular. What is the number--
23 we will have it in a minute. I haven't committed it to
24 memory yet. It is 19-79-83, which was dated April 16, 1979.
25 You all received this last time. If you want additional

1 copies we have it available.

2 In the back of that circular -- there is three
3 attachments which are the format of the examination.
4 The first part is the initial data base which goes into
5 the history of exposure and we try through various means
6 to get both quantitative and qualitative data about
7 where, how long and so on. This is an extremely difficult
8 matter.

9 The second part, Part B, goes over the, is
10 a review of systems basically from a historical point of
11 view of areas in which it has been said by inference or
12 by direct information toxic effects of Agent Orange
13 might manifest itself.

14 The third part is a physical examination form
15 which again urges emphasis on certain particular areas.
16 In addition, we encourage appropriate laboratory testing
17 to the extent that the findings on either history or
18 physical examination indicate. We don't have a set
19 format but we do have certain suggested guidelines.

20 Now this will be revised to more adequately
21 answer the questions regarding epidemiology that we need
22 to have answered. It will be put in a more appropriate
23 form, and will contain coding information so that the
24 hospitals can code it directly and there won't be a
25 lag between the performance of the examination and the

1 entry of this data into our computer system. We are being
2 advised in this revision process by the epidemiological
3 forces of Johns Hopkins and others, and also hopefully
4 from the members of this committee, so that we can have
5 a truly excellent form.

6 There are additional copies available if
7 anyone wants them.

8 DR. MOORE: Could I have a copy of that too,
9 please.

10 MS. KILDUFF: This form follows the data elements
11 that he just explained.

12 DR. LEVINSON: I will bring copies this afternoon
13 for everyone.

14 DR. MOORE: Of the 650 claims that it was
15 stated that one has been allowed, I think it would be
16 very beneficial to me if I could get some information as
17 to what were the symptomologies or the pattern of
18 exposure associated with the person or apparently led the
19 VA to conclude that it likely was an Agent Orange exposure.

20 DR. LEVINSON: The basis of it, the gentleman
21 who represented DVB is not here today. The basis of it
22 was chloracne. It was on that basis that the claim was
23 granted.

24 DR. MOORE: Did he have any other symptoms --
25 liver pathology or neurologic problems?

1 DR. LEVINSON: I don't know.

2 DR. MURPHY: What about exposure? Does he fit
3 the pattern of heavy exposure or was that taken into
4 consideration at all?

5 DR. LEVINSON: I am sorry, I just don't know.
6 We will have to find out.

7 DR. HABER: The information is that he does not
8 fit the pattern of heavy exposure and what we will do
9 is to get a trace of that case insofar as we can
10 without violating the Privacy Act, which gives you the
11 background. I think it would be illustrative and I
12 think the whole committee would benefit from that.

13 Do all the members of the Advisory Committee
14 have a copy now of the coding elements? This will enable
15 us to get the information in the protocol for the examin-
16 ation coded and developed so that it is suitable for
17 automation. The numbers of examinations is mounting.
18 We would like to be able to reduce it to a format which
19 we can deal with in large numbers.

20 DR. MURPHY: Related to Dr. Moore's question,
21 of those 3100 veterans in which we have received reports,
22 it wasn't clear to me just how this 3100 happened to have
23 been selected other than they agreed to participate.
24 And specifically, does it include reports from the 650
25 claims, people that have filed claims?

1 DR. LEVINSON: The 3100, these are not selected
2 except to the extent they agreed to participate, self
3 selection. These are people who are receiving service in
4 our hospitals, and who were Vietnam veterans, serving
5 during the period of time when Agent Orange was utilized.
6 These people are identified by Medical Administration
7 Service and they are invited to participate in the study.

8 I do not know the number invited who declined,
9 but these are people who have agreed to participate. So
10 to that extent there is a self selection process.

11 This does not reflect at this moment outreach,
12 advertising, come in and so on, nothing like that.

13 DR. MURPHY: My other question was how many of
14 those 650 people are involved?

15 DR. LEVINSON: I don't know. But we have
16 inferences that not all of the people who have filed
17 claims for compensation have been examined under this
18 program. As you may know in our system they are two
19 separate processes. One files claims with one part of
20 the agency, Department of Veterans Benefits, for com-
21 pensation. One receives health care from another part
22 of the agency, the Department of Medicine and Surgery.
23 The two do interact on parts of the processing of
24 claims, but having filed with DVB is not tantamount to
25 being examined in a VA health care facility.

1 DR. HABER: Anybody who files with DVB if he
2 manifests it, he must invariably do so, some current
3 physical or mental problems associated with this, he
4 would be referred to the Veterans Hospital Department of
5 Medicine and Surgery for treatment, diagnosis and
6 treatment.

7 DR. MURPHY: The invitations, you said they were
8 invited to participate. What is the basis of the
9 invitations, was it exposure?

10 DR. LEVINSON: No.

11 DR. MURPHY: Symptoms?

12 DR. LEVINSON: The basis is that they were
13 Vietnam veterans during that era, and that they believe
14 they were exposed. On that basis alone we invited them
15 to participate.

16 DR. MURPHY: O.K.

17 DR. HABER: The invitation is really a self-
18 generated one.

19 DR. MURPHY: That is right if they believe they
20 were exposed, then that is kind of a self selection
21 process.

22 DR. LEVINSON: Yes.

23 DR. HABER: I hope there was no inference drawn
24 to the contrary.

25 DR. ERICKSON: What is the comparison going to

1 be made to? What is the control group, this group of
2 3100 people?

3 DR. LEVINSON: We don't have a study yet. We are
4 in the process of using this data to develop a study and
5 we felt that the best way to start was to begin collecting
6 data until the study was designed, which is completed
7 as quickly as possible and to place the names of the
8 people in our computer so they can call back for
9 additional studies when the full dimensions of the
10 epidemiological study have been outlined. At the time
11 when we do undertake it, there will certainly have to be
12 an appropriate control group and several are available.
13 The most logical one would be Vietnam era veterans who
14 did not go to Vietnam, presumably they were not so
15 exposed. But there are a number of control groups that
16 we can use, the general population, for example, would
17 be available to us.

18 DR. HABER: Could you identify yourself from
19 the floor?

20 MR. DeYOUNG: My name is Ron DeYoung. I
21 appeared here today as a representative of the National
22 Veterans Task Force on Agent Orange. I had a question
23 for the gentleman from the Office of Management and
24 Services but I would like to hold that just for a second.
25 The information that the task force has developed is

1 directly counter to your last statement, Dr. Levinson,
2 in terms of that control group. And I would caution you
3 that we have reports from veterans that indicate that the
4 Panama Canal Zone, Fort Lewis, Washington, Aschaffenburg,
5 along with possibly Subic Bay in the Philippines were
6 defoliated. These are eye witness agents. We don't know
7 the exact chemical. It was either 2,4,5-T, or a mixture
8 thereof. It was stated by them it was a very common
9 construction technique for the engineer battalions at
10 that time.

11 DR. HABER: Mr. DeYoung, we are aware of that
12 and we understand that the use of herbicides exposures--
13 even the civilian population, so it will be difficult to
14 find a match group. We will do so, however.

15 MR. DEYOUNG: I wanted to make that a matter of
16 record because of Dr. Levinson's last statement. The
17 question that I really rose for was a question to the
18 gentleman from the Office of Management and Services.
19 You are talking about computer tapes and locations of
20 units and so forth. Have you yet had a successful track
21 on any veterans? Has DOD or one of the departments come
22 back and said, yes, this man was exposed, here are the
23 particulars.

24 MR. HOWELL: No, I can't really answer that.
25 We just get the information for the Department of

1 Veterans Benefits. Now we obtained it for them.

2 DR. LEVINSON: We have not asked them to track
3 individual veterans as yet. We are trying to get the
4 whole process done as it were, automatically, if it is
5 possible. We want to get the data together so that we
6 can do it by the use of a computer.

7 The agencies, of course, do track individual
8 veterans when required. They do this apparently as we
9 understand, as a matter of routine, and may very well
10 have done so for the claims filed before the Department
11 of Veterans Benefits.

12 I can't answer that but for the purposes of
13 our activities, we have not yet tracked individual
14 people. We are storing the data and we hope we can
15 avoid having them laboriously follow some. We hope the
16 use of the information when automated will obviate this.
17 This is what we are aiming for.

18 DR. HABER: Actually, there are several tracks
19 that we are pursuing to try to run down that very
20 important question.

21 MR. DEYOUNG: What bothers me is the implication,
22 that I would suggest that you relook at what the
23 adjudicators are sending out to veterans in terms of
24 requesting the veterans to develop his own documentation
25 for exposure to the herbicide. The evidence, I will give

1 you as close to a quote as I can--the evidence of record
2 does not contain information which shows you were associated
3 with herbicides; therefore we must deny your claim at this
4 point.

5 That is not word for word but the theme is
6 very much what the adjudicators at local levels are
7 putting out to veterans who are writing.

8 DR. HABER: Yes. I think your point is well
9 taken. We will be communicating with DVB.

10 MR. DEYOUNG: I think it might be better for
11 the veterans to know that something is going on here in
12 terms of documenting that exposure than just thrusting
13 it back upon their shoulders, which they have no resources
14 to do.

15 DR. HABER: The point is well taken.

16 MR. ENSIGN: I am with Citizens Soldiers
17 Veterans, New York City. I want to try to pin down
18 something. I am a little confused. It is the question of
19 the April 16th memo, and the relationship to the veterans
20 coming into the facility. And I am trying to understand.
21 We, of course, hear from a lot of veterans. We routinely
22 ask them if and we generally read right from the memo and
23 ask them about these questions -- were you asked this,
24 asked that.--and I must say that without being hyperopic --
25 most veterans say no, I was not asked that. I was not

1 asked those questions either in specific or in the general.

2 Now I realize that often a guy might not
3 remember. There may be people whose memories are faulty
4 but it seems as though facilities in many cases are not
5 asking that set of questions. Now what I am trying to
6 understand is, is it because when the person comes in, and
7 he makes the claim, he goes into the regional office
8 and makes the claim, is there some process whereby that
9 person must satisfy themselves that he, in fact, shows
10 something which then entitles him to be questioned or
11 is it, in fact, routine that anyone who comes in and says,
12 I believe I was exposed, they will be. Is it your statement
13 of policy that the VA will ask them that set of questions.
14 And if that is a statement, I have to say that from
15 hundreds of guys we talked to it does not seem to be
16 filtering down to the regional level.

17 DR. HABER: Let me answer that question that
18 we have heard that statement made by several individuals
19 such as yourself. One of the purposes in having our
20 conference later this week is precisely to deal with
21 that issue.

22 Dr. Levinson stated it appropriately. The two
23 processes applying for compensation, adjudication for
24 service connection, and or the process of applying for
25 medical care, medical benefits, are independent in the

1 sense that one does not have to wait on the other. And
2 that a veteran who comes to a hospital or a clinic of
3 the Department of Medicine and Surgery alleging ill
4 effects will be treated in accordance with the circular
5 that Dr. Levinson cited. Those questions will be asked.

6 The appropriate physical and laboratory exam-
7 inations will be done. Where that does not occur, it is
8 important to find out why it has not occurred, and we
9 will endeavor to get remedial action. Sometimes as you
10 say, it may have occurred without the veterans being
11 specifically aware that it has occurred.

12 MR. UHL: My name is Michael Uhl. I have a
13 question for Dr. Levinson. With reference to your
14 epidemiological study that you mentioned a few minutes
15 ago, I have two questions actually. Who will design the
16 study and will you have the benefit of the advice of
17 this committee or some other outside committee of experts
18 on this?

19 DR. LEVINSON: Yes. The design would surely --
20 since we are not in our agency we don't do epidemiology
21 on a large extent at this time, the design would surely
22 come from the outside. And very definitely it would take
23 into account the advice and guidance of this Advisory
24 Committee.

25 MR. UHL: Who will do that study?

1 DR. LEVINSON: I can't answer that now.
2 Currently we are talking to people from the Department of
3 epidemiology at Johns Hopkins. Whether they are the
4 ones that are chosen will depend on their availability
5 and on the circumstances. It will be, though, a highly
6 reputable outside group that is not otherwise involved
7 in this.

8 MR. UHL: What will be the mechanism for involving
9 the Advisory Committee in the construction, design,
10 evaluation?

11 DR. LEVINSON: Several. First of all, already
12 in the questions that they have received and for which
13 they are developing position papers we have many aspects,
14 facets of this epidemiological study under their review.

15 Now that hasn't been pulled together but there
16 are specific aspects that they are already commenting on.
17 Presumably, they would not presumably, but I am sure
18 certainly they would be asked to comment on the design
19 after it is completed and to approve every aspect of it
20 before we finalize it. So I think they would have an
21 oversight and a significant role in the final approval
22 of the design.

23 MR. UHL: Thank you.

24 DR. HABER: Let me say something about the
25 epidemiology. One of the things we want to do and one of

1 the reasons this committee was so constituted is that it
2 is not possible for the Veterans Administration to do
3 the total epidemiology. It is not appropriate. It is
4 not possible. So on that what part of the epidemiology
5 we will be doing will be the result of a number of kinds
6 of advice, some from a group such as this but also from
7 the members around this table; because, obviously, some
8 of the other federal agencies are already engaged in
9 epidemiological studies. As a matter of fact, all of
10 them around here are engaged in studies of one kind or
11 another. And it will be our function to see to it that
12 those which the VA undertakes are those which are
13 appropriate for us.

14 We won't be trying to duplicate what the CDC
15 is doing, or what EPA is doing or the Department of
16 Agriculture is doing. So that in the reason for the
17 structure of this Advisory Committee was to be able to
18 be an interchange clearing house for all the kinds of
19 studies which need to be done. Some would be appropriate
20 for us to do some for the Department of Defense. Some
21 for NIEHS and the function of this committee will be to
22 advise us on what kind of epidemiology we ought to be
23 involved in.

24 Were there questions?

25 MR. LENHAM: Mine was asked.

1 DR. HALPERIN: I am Bill Halperin, a physician
2 in epidemiology at the National Institute for Occupational
3 Safety and Health, and I am filling in for Dr. Lemen
4 who couldn't be here today. So you will have to excuse
5 me if I missed some of the points.

6 We have heard that there are going to be
7 epidemiology consultants to design this study; but yet
8 we have been told that there are already 3100 people
9 somehow enrolled in a data system that looks very elaborately
10 developed. And quite honestly my palms start to sweat.

11 It seems to me that if there is going to be an
12 epidemiologic study done by the VA it ought to be clearly
13 defined by whoever does it with their protocol reviewed
14 before data systems that are developed may in a sense not
15 be appropriate for the kinds of study that they want to
16 develop.

17 I think it would be reasonable to stop refraining
18 from, to start refraining from discussing the 3100 in
19 this data system as a study, and make the plea that as
20 soon as possible that we have a chance to review the
21 actual study design that the consultant will come up with.

22 DR. HABER: Let me be quite clear. This may
23 have been confused. We have a clinical problem right now
24 that does not await the appropriate design of the
25 definitive study. We have veterans out there who have

1 clinical problems, may have clinical problems. We have
2 to react to that now. We cannot afford the luxury of
3 discouraging those veterans until our study protocol is
4 developed.

5 What we are attempting to do now is simply to
6 collect that data which seems to us to be inherent in the
7 problem, and which having been retrieved will give us
8 at least a starting point. And we are going to codify
9 that data.

10 That is not to superimpose upon the design of
11 the study any restraints at all. We are just trying to
12 capture the data ways available. It may well be that we
13 will have to go back and ask those veterans to return
14 and to subject themselves to additional studies once the
15 protocol for the epidemiology has been decided upon. And
16 we are prepared to do that, and we expect that most
17 veterans would be willing to do that. But I think what
18 we are doing now is reacting to a clinical problem
19 and sure knowledge that some of that will be useful in
20 an epidemiological study. Some of it will not.

21 Some essential element of an epidemiologic study
22 will not have been gathered in this and this will await
23 the definitive protocol; but you know as we do, that
24 elaboration of that protocol is going to be a very
25 tedious, exacting process. And we simply cannot afford to

1 wait until that time has happened when veterans are
2 literally at our doors asking for help. So we are mindful
3 of your concerns and I assure you that the epidemiologic
4 study will attend to the considerations you have
5 elaborated.

6 Are there any other questions or comments?

7 MR. HIGHT: Henry Hight, I am with the Board
8 of Veterans Appeals. I might make one comment here to
9 this gentleman over here that even if the regional office
10 adjudicators do not question the veteran properly on where
11 he has been, what and so forth, we are remanding those
12 cases for full development. And they won't get by without
13 having all of the development that the veteran can give
14 us, and that we can make as far as determining whether
15 he was there and what kind of situation he was in, whether
16 he was sprayed or not and so forth.

17 There is one other point I would like to bring
18 up here, and it seems to me that some discussion has gone
19 along on the basis of chloracne. And as I understand it,
20 the existing of chloracne in service is not a manifestation
21 of other than that acute manifestation of having been --
22 we will admit that he has been sprayed. This is not a
23 pathological symptom which we will say is related to
24 something later on. Is that correct, Dr. Haber?

25 DR. HABER: What our attitude has been about the

1 existence of chloracne, either at the time of service or
2 very shortly thereafter, if the individual was shortly
3 discharged would be that that is evidence of the fact
4 that he has been sprayed.

5 MR. HIGHT: He has been sprayed but not that he
6 has something now years later that is related to service?

7 DR. HABER: If there are problems, current
8 problems that the individual has and he has well documented
9 evidence of chloracne that would be indicative of the
10 fact there might be a connection.

11 MR. HIGHT: Certainly gives them the evidence of
12 having been sprayed.

13 DR. HABER: Right.

14 MR. HIGHT: Thank you.

15 MR. JAMISON: Terry Jamison, a reporter for U.S.
16 Medicine. The VA has announced previously that a study
17 of human fat tissues would be concluded this summer. If
18 that is the study on which Dr. Lee was reporting, it is
19 apparently behind if we are talking about late October.
20 But what can be said about the two-thirds of the samples
21 that have been completed by the chemist? Is there any
22 indication?

23 DR. HABER: No. The code has not been broken
24 until the samples are completed. We cannot give you any
25 information.

1 MR. JAMISON: That is the same study?

2 DR. HABER: Yes.

3 DR. LEE: I would like to add there was no
4 commitment as to when that study would be completed and
5 there has not yet been any commitment.

6 DR. HABER: We have time for two more questions
7 and then we must proceed. But if there are other questions
8 if you would please submit them in writing we will see
9 that you get answers.

10 MR. DeYOUNG: I would submit first of all, the
11 last statement that there have been commitments made
12 possibly not by the Central Office but to individual
13 veterans, in Chicago they were submitted to a three month
14 response time. The time has passed and they are wanting
15 their results. They also want to know why they can't get
16 theirs because the whole program is not done. They don't
17 understand the code hasn't been broken yet.

18 I will try to explain that to them, but I don't
19 think it will sit well. My major concern is the Air
20 Force Study. The HEW Study, the Ranch-Hand Study. There
21 was a major announcement last time there was a major
22 epidemiological study on a thousand to two thousand
23 veterans of the Air Force ranch-hand program.

24 The most recent news we got through the news
25 media, the study has been postponed a few months. The

1 protocol is still not yet available. What is going on?

2 I asked some specific questions. Has the
3 Department of Defense developed a protocol for that study
4 and if not, why not?

5 The second one, has the Department of Defense
6 sent it to the White House, and if not, why not? When
7 will it be sent?

8 In both cases, when will it be done? What is
9 the time table for this project? When can we expect some
10 start and some finish?

11 DR. MOORE: Dr. Haber, could I ask a
12 clarification? Do you infer the ranch-hands was an HEW,
13 Air Force study?

14 MR. DEYOUNG: That was my understanding, Dr.
15 Moore. I had understood the actual development of the
16 epidemiology would be done by HEW.

17 DR. MOORE: I am not aware of that. Are you
18 aware of that?

19 DR. HALPERIN: No.

20 MR. DEYOUNG: Totally Air Force?

21 DR. HABER: With the exception of the fact, and
22 I will let Major Brown speak to this, that another agency,
23 the NAS, I believe, was invited to review the protocol
24 but it is an Air Force study.

25 MAJOR BROWN: Would you go back and restate your

1 questions! The first one, we will answer that and
2 proceed from there.

3 MR. DEYOUNG: Has the protocol been developed
4 by DOD?

5 MAJOR BROWN: The Air Force has developed a
6 protocol and it is under review.

7 MR. DEYOUNG: By who?

8 MAJOR BROWN: We have had three groups now
9 review the protocol and we are now in the process of having
10 the fourth group review the protocol.

11 MR. DEYOUNG: Could I have the names of those
12 groups please?

13 MAJOR BROWN: Surely. I brought a copy of it.
14 There is a Memo for Correspondents. I brought it today to
15 give to the committee, dated September 17th. Would you
16 like me to read it?

17 DR. HABER: Please.

18 MAJOR BROWN: "The United States Air Force
19 announced today the revised schedule for the initial
20 implementation of its study of the health of 'Ranch Hand'
21 personnel who sprayed herbicide orange in Vietnam.

22 Operation Ranch Hand was a name attached to the
23 AF spraying program in Vietnam between 1962-1971. 'Ranch
24 Hand' personnel would have been the most likely Vietnam
25 veterans to have had significant exposure to the herbicide.

1 The purpose of the study is to determine if any
2 causal relationship can be established between exposure to
3 the herbicide and changes in the long-term health status
4 of the individuals involved.

5 The initial phase of the study was scheduled to
6 begin in early October 1979, following completion of an
7 extensive scientific peer review of the medical protocol
8 by several scientific groups. This peer review, which
9 began in June 1979, is not being completed as quickly as
10 originally estimated. It now appears that the initial
11 phase of the study may not begin until January 1980. The
12 medical protocol constitutes the scientific approach by
13 which the Air Force plans to conduct the study.

14 Three scientific groups have reviewed the
15 protocol -- the University of Texas Medical School at
16 Houston, The Air Force Scientific Advisory Board and the
17 Armed Forces Epidemiological Board. A fourth scientific
18 group, the National Academy of Sciences, currently has
19 the protocol under consideration.

20 Upon completion of the reviews, the Air Force
21 will meet with the Veterans Administration Advisory
22 Committee on Health-Related Affects of Herbicides to
23 discuss the entire study."

24 If you would like a copy of that, you can obtain
25 it from the Air Force Office of Information in the Pentagon,

1 Major Doug Kenneth.

2 MR. DEYOUNG: Thank you.

3 MAJOR BROWN: You are welcome.

4 DR. HABER: Can I interrupt the questions.

5 Mr. Wisniewski, would it be appropriate either
6 now or sometime this afternoon to give us some of the
7 information about that case that was adjudicated as being
8 due to service in Vietnam at the time the Agent Orange
9 was being sprayed? Do you remember the one case that has
10 been service connected either now or if you need some time?

11 MR. WISNIEWSKI: It depends on how much data you
12 need. The one case that we did allow as probably due to
13 Agent Orange was a chloracne case and it had a diagnosis of
14 possible, I think, chloracne and we resolved that by
15 holding that it was due to Agent Orange. Although the
16 file itself had no direct proof of exposure to Agent Orange.
17 We did it solely on the basis of this statement of the
18 veteran himself that he was in Vietnam, and that he was
19 exposed to the defoliants.

20 DR. HABER: We have promised the Advisory Committee
21 a blurb on that. Could you undertake to develop one with
22 some of the particulars about this so that we can put it
23 in their folder?

24 MR. WISNIEWSKI: I certainly can and will do so,
25 but when do you have to have it?

1 DR. HABER: Sometime in the next week or so.

2 MR. WISNIEWSKI: Certainly. You will probably
3 have it by the end of today or tomorrow.

4 DR. HABER: I think Dr. Murphy had one question
5 and can we close it with you?

6 DR. MURPHY: This had to do with an earlier
7 question and an earlier comment, and I don't recall whose
8 comment, stating that the position, I believe, for
9 compensation had related evidence to support compensation
10 was one of cause and effect or concurrence in time, and
11 that symptoms since and signs developed within the
12 time that exposure might have occurred.

13 And I wondered if this ten rules out any delayed
14 chronic effects in terms of this?

15 DR. HABER: No. What we are saying is if an
16 individual receives a disability as a result of enemy
17 action, he has a gunshot wound, that is established. Then,
18 of course, that becomes service connected or if an
19 individual develops an illness at the time that he is in
20 service, suppose he begins to show the first signs of
21 leukemia we cannot ascribe the casuation of leukemia to
22 service yet.

23 If his leukemia began while in service and the
24 first abnormality occurred at that time he would be
25 granted service connection.

1 DR. MURPHY: In other words, you are saying if,
2 for example, an individual were washing machine gun parts
3 with benzene in 1970 and were discharged in 1971 and in
4 1978 got leukemia this could not be associated?

5 DR. HABER: No. I am not saying that.

6 DR. MURPHY: That is what you just said.

7 DR. HABER: I said the clear indication, that
8 would have to be established but if he developed signs
9 and symptoms of any disease while he was in service, that
10 would be service connected or if the clear result of it,
11 the approximate result of that was a disability, for
12 whatever, if he fell off a motorcycle, while he was
13 carrying dispatches or as a result of enemy action he
14 was injured, he would be granted service connection.

15 It does not rule out the possibility that there
16 are long term latent effects. Those have to be established
17 but it doesn't rule them out. What it does is rules in
18 the other two kinds of things. O.K.

19 DR. BRICK: Not a question, just a comment and
20 an observation. With reference to the report that
21 appeared in the June, July issue of this year of the
22 Federal of American Science Public Interest report, in
23 which they reported that a Vietnamese scientist spoke on
24 dioxine at their meeting that they held on May 9th at
25 the FAS, which is up the street, on the possible

1 relationship between dioxine and liver cancer, is your
2 committee aware of this?

3 DR. LEVINSON: Dr. Tung.

4 DR. HABER: We had him here too.

5 DR. BRICK: I wasn't aware of that.

6 DR. HABER: As a matter of fact, we have some
7 observations made as a result of his visit and we can make
8 that available to the committee.

9 DR. BRICK: I think that might be helpful because
10 he concluded apparently by stating that the relationship
11 was not established between cancer and dioxine, but thought
12 his research suggested it.

13 DR. LEVINSON: I think we may even have a tape
14 of his presentation.

15 DR. HABER: We will make that available to the
16 committee. I think the group should know Dr. Brick was
17 forthcoming enough, I believe that is the word, to write
18 to the editor of the Post regarding an editorial that the
19 Post published about responsibility for the research in
20 agent orange. And I thought it was very useful that you
21 did bring them at least in our viewpoint. We are indebted
22 to you for so doing, and I think for calling attention to
23 the existence of this committee which we found very
24 useful.

25 I think we ought to make that letter available

1 to the committee too.

2 DR. BRICK: That is all right with me.

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

KB-1 1

DR. GROSS: I am Dr. Gross. I am replacing Dr. Griffith here who I understand will no longer be attending meetings of the Committee. He is leaving the Service, going to Florida for a couple of years.

5 My question has to do with the Agent Orange.
6 Does the Department of Defense have information from the
7 manufacturer or samples of whatever was sprayed in Vietnam
8 at the time?

9 I understand the levels of dioxin vary a great
10 deal. Now is that going to be handled, the matter of
11 exposure?

12 CHAIRMAN HABER: Mr. Brown, can I ask you to
13 comment?

14 MAJOR BROWN: I think this afternoon Major Young
15 will address the various levels that the Air Force is aware
16 of in terms of the concentrations of dioxin contaminant
17 as to how that will exactly be handled in the future, and
18 perhaps be a VA decision.

19 CHAIRMAN HABER: Okay. We are 15 minutes overtime
20 on this issue, and I would like to thank the Committee for
21 their comments and so on, and I would like now to go into
22 a discussion of the position papers.

23 Hopefully without seeming to impose on it we
24 have the position papers developed. I would say this is
25 not the definitive form in which they will appear. These

1 position papers were the first cut, and I think they need
2 to be refined further. I want to take these up for
3 discussion with the group and it will be my policy, what
4 we will do is ask the discussant, the coordinator to discuss
5 it, and to then throw it open for discussion.

6 Based then upon these, the comments and questions
7 from the audience and the other members of the Advisory
8 Council, we will undertake to go further in the process
9 of refining these papers, and then hopefully to get them
10 in a more definitive shape, and so Dr. Halperin, would it
11 be fair to ask you to lead off a discussion for the first
12 paper, if you could give a quick summary and your own
13 comments on it, we will throw it open to discussion.

14 I hope we are not catching you unaware.

15 DR. HALPERIN: No. Dr. Lemen asked me if you
16 could clarify the difference between coordinators and
17 contributors? Have contributors partaken in the draft
18 paper so far?

19 DR. HABER: To my knowledge, well, I can't answer
20 that question. Do you know?

21 MS. WILLIAMS: I don't know, Doctor. These were
22 expressed desires, to have input and participate in the
23 preparation and the coordination. I don't know.

24 DR. CASTELLOT: We don't have any specific
25 information. The individual people were instructed to,

3 1 as I understand it, as I recall, contact the other people
2 or the other people could contact the coordinator, either
3 way, but to my knowledge, I can't tell whether this was
4 done in any specific case.

5 CHAIRMAN HABER: The coordinator was charged
6 with the responsibility of filing the paper. At the time
7 we made these assignments, I instructed the other members
8 of the Committee to contribute if they had the desire,
9 and these names represent that group.

10 DR. HALPERIN: He wanted me to say he hadn't
11 discussed his paper with any of the contributors nor had
12 he contributed to any of the other papers where he was
13 listed as a contributor.

14 CHAIRMAN HABER: All right.

15 DR. HALPERIN: The question that was asked was
16 could one do a valuable epidemiologic study of the
17 Vietnam veterans to try to answer the very pressing question
18 concerning illnesses that were coming up in that group
19 and their possible exposures in Vietnam.

20 Basically the way Mr. Lemen addressed this
21 question was by saying that it was certainly a valuable
22 and important thing to try to do that. It would require a
23 great deal of information that we do not know at this point
24 whether it exists or not, and we personally have no access
25 to knowing whether it exists or not.

4 You can see he says whether any or all of this
1 information is available lies in the knowledge of the
2 Department of Defense and the Veterans Administration, but
3 if the information did exist, that it may be possible to
4 do a meaningful study, so really he has answered your
5 question with a question which is before we say whether
6 there can be an epidemiologic study, one that would be
7 valid and meaningful, we have to know specifically what kind
8 of information is pertinent to do that kind of study and
9 what he addressed in his paper was the general kind of
10 information that may be necessary.
11

12 CHAIRMAN HABER: The only thing we can say is
13 that we need to get back to our own members of the Advisory
14 Committee to be able to take this up with the others who
15 were respondents for this in the hopes that they could
16 produce a more significant answer to this question than
17 appears to be the case.
18

19 DR. HALPERIN: I don't think that kind of further
20 discussion is really what is needed, in my opinion. If
21 Dr. Lemen's draft position paper could perhaps be given to
22 a staff person to answer specifically whether this
23 information exists and what the character of the information
24 is, then as an epidemiologist he could evaluate that
25 information and say whether it could be, what could be made
of it.
26

5 DR. MURPHY: Doctor, may I ask Dr. Halperin a
6 question?

DR. SCHEPERS: Yes.

8 DR. MURPHY: I don't know if this is what was
9 intended or if it is the typing, but it says before
10 drawing meaningful--the third paragraph, "Before drawing
11 meaningful conclusions about the mortality experience..."
is only mortality intended, or is it morbidity and mortality?

13 DR. HALPERIN: His first emphasis was on mortality.
14
15 Morbidity and reproductive are even more difficult to
16 answer, as he addressed in his draft position paper, because
17 the data is all the more difficult to get ahold of, that
18 is, there is only one definitive piece of paper that is
needed to define mortality experience, and that is a death
certificate.

24 DR. MURPHY: I understand--just because it is the
first thing that is addressed without these introductory

6 1 comments--

2 DR. HALPERIN: I think he goes on, "Additionally,
3 studies of morbidity and reproductive effects among the
4 exposed population of veterans can be conducted if medical
5 records for the exposed and non-exposed populations of
6 veterans and their families can be reviewed for the years
7 subsequent to service in Vietnam"

8 He goes on in more detail. I think the real
9 question is to answer this, one needs to know what data one
10 can work with.

11 DR. SCHEPERS: Could I clarify a little? There
12 are two types of veterans--those who come to the Veterans
13 Administration and those who don't. The majority do not
14 come to see us. Generally the veterans who come to see us
15 are older men, and there are specific reasons for that
16 which I don't need to go into, so it is very likely that
17 the majority of the Vietnam veterans do not yet come to see
18 us.

19 Any epidemiological studies should take cognizance
20 of that difference because the health problem which may
21 be related to Agent Orange exposure could be presiding
22 amongst those we never see rather than the ones that we do
23 see.

24 Secondly, to start with mortality for this
25 particular group would be unusual because they are young

1 people, and we do have very accurate mortality records
2 pertaining to veterans who do come to see us, but we don't
3 know anything about veterans who die outside of VA
4 hospitals.

5 DR. HOBSON: We have very good records of those
6 who die because of the benefits that are paid at the time
7 of death to veterans.

8 They have not been calculated for the Vietnam
9 veterans, but the follow-up agency of the National Research
10 Council feels that we know of 95 to 98 percent of the
11 deaths that occur among World War II and Korean War veterans,
12 probably as high a number among the Vietnam veterans.

13 DR. SCHEPERS: Would that apply to the Vietnam
14 veterans, too?

15 DR. HOBSON: So far as anyone knows, because
16 the death benefit is paid. Usually they say the second
17 question that is asked by the undertaker is, is he a veteran.

18 DR. SCHEPERS. That is very useful.

19 DR. HOBSON: So we do have very good mortality
20 records, and that probably was the reason Mr. Lemen
21 included that.

22 While I have the floor for a moment, I would like
23 to point out that in the position paper question that was
24 sent out, the first sheet that is here, the quotation that
25 4.2 million veterans reportedly may have been exposed, I

1 think this is the inversion of those figures that occurred
2 sometime back. It should be 2.4, and I think the correction
3 should be made.

4 It is also I am afraid in Dr. Brick's letter
5 a little farther on, too. This error was made early on I
6 understand, but it is an error.

7 DR. SCHEPERS: It is purely a typographical
8 error, and it has survived through this document, so we will
9 correct it at this point.

10 Thank you, Doctor. Any further discussion of
11 this proposed position paper? Is it agreed then that the
12 word "morbidity" should be included?

13 DR. MURPHY: Well, I don't know. I understood
14 Dr. Halperin's clarification. I personally feel that for
15 someone who isn't privy to this discussion, reading this,
16 it might give the impression that the only concern was with
17 mortality or the big major concern, because this leads into,
18 it says mortality.

19 It does not introduce, as you did, Dr. Halperin,
20 the fact that there are two ways of looking, or there are
21 at least two aspects of it. It is just a matter of I think
22 a little introductory sentence, but I would accept mortality
23 as being a valid and measurable end point.

24 DR. HALPERIN: It is certainly valid, and Mr.
25 Lemen has said that studies of this may be able to be done,

1 but you have got to understand he is a very pragmatic fellow
2 and what he is really saying here is not to quibble with
3 emphasis. It is all important, as you are saying. The
4 only way to answer whether it can be done is to know what
5 kind of information exists, and he is not privy to that
6 knowledge and therefore really can't answer the question.

7 DR. MURPHY: I understand that, too.

8 DR. HALPERIN: If anything functional is to come
9 out of this position paper, No. 1, it is that we have to
10 come up with a mechanism to get from the staff to the
11 Advisory Committee some description of specifically what
12 kind of information does exist.

13 DR. SCHEPERS: May I ask Ms. Kilduff whether she
14 knows how many Vietnam War veterans have died? Do you
15 have that figure?

16 MS. KILDUFF: I imagine we could get it for you,
17 but I don't have it right now.

18 DR. SCHEPERS: Would it be obtainable today?

19 MS. KILDUFF: I will try.

20 DR. SCHEPERS: Then we would know how many we
21 are talking about for the mortality figure. If it is only
22 200, it is a very small study. If it is 50,000, it is a
23 very large study.

24 DR. MURPHY: But the record could be talking
25 about prospective as well in this implication of this paper.

10 1 I don't seem to be able to make my point to either of you,
2 so maybe it isn't important.

3 My only question is when I read this and when
4 I would assume that others who might read this hadn't
5 heard this discussion, they would have the same reaction I
6 did when I got to the third paragraph, and it says, first
7 starts talking about drawing conclusions, it refers to
8 mortality, and they would have the reaction my God, is
9 that all they are concerned about is mortality?

10 Now I am saying is that, the introductory
11 statement that Dr. Halperin has outlined today, would
12 that be inserted before that? That would take care of my
13 concern because he points out mortality studies, current
14 and prospective, have value, but you need a certain kind
15 of information for them to be valid, and then goes on to
16 cover other, something less than mortality, i.e., various
17 morbidity studies, and that is what I am appealing for.

18 DR. HOBSON: No. I think if it starts off with
19 a sentence at the start of that paragraph it would be all
20 right.

21 DR. MOORE: I think the answer to a question
22 might have relevance to this. The question is that earlier
23 today we heard that, well, first of all, we are aware of
24 one thing. That is the prospective study within DOD which
25 is the Air Force's study on Operation Roundup which we will

11 1 hear more about this afternoon.

2 Secondly, with regard to exposure information,
3 it was stated earlier that the response to a request to
4 DOD, they gave tapes that essentially were similar tapes
5 that had been prepared for the National Academy of Sciences
6 Committee on the Effects of Herbicides in Vietnam, but
7 what I lack is that my sense is that the majority of
8 veterans who are making claims are not Air Force but indeed
9 are Marines and Army, and if that is the case, the
10 information that DOD has provided gives no indication at
11 all with regard to troop movements or troop concentrations
12 in relation to the Air Force information which has been
13 provided as to where they sprayed and when they sprayed,
14 and that is the type of information I do think that
15 Dr. Lemen was really saying unless we can have that, you
16 can't really design a study. Until you have the data, you
17 can't decide whether you can or you can't.

18 DR. SCHEPERS: Is all this clear to everybody?
19 It is not yet clear to me.

20 MR. LENHAM: Your point is well taken. Just
21 for information purposes, I know in our legislative
22 headquarters alone, Operation Ranch Hand I believe is going
23 to be doing a study on 1200--

24 MAJOR BROWN: Approximately 1200 individuals
25 that were part of that operation.

12 MR. LENHAM: Just in inquiries alone in how to
1 go about filing claims, and what have you, in our
2 legislative headquarters we have received over 1300, just
3 here in our Washington headquarters, 1300 responses from
4 veterans indicating some sort of a problem that they feel
5 is related to exposure to the herbicide, not saying that
6 all of these are valid, but this is what we are looking
7 at, so you know this is vast over the country. This is
8 going to be multiplied quite a bit, so your point is well
9 taken as far as looking into the troops in the field.
10

11 DR. SCHEPERS: Just for clarification, in case
12 it needs clarification, Operation Ranch Hand is the
13 privilege of the Department of Defense because these are
14 employees of the Department of Defense. They are not yet
15 veterans. We can't study them until they become veterans,
16 so this is their baby, not our problem.
17

18 MR. LENHAM: Right. I understand that.
19

20 DR. SCHEPERS: The 1300 you are referring to are
21 Ranch Handers?
22

23 MR. LENHAM: No. I am referring to the 1300
24 Marine, Army personnel, what have you, veterans. I am
25 referring specifically to veterans that have inquired into
 our legislative headquarters expressing concern that they
 have either medical problems that they now have and they
 feel are related to their exposure to the herbicide, or

13 1 medical problems that their children now have which they
2 feel might have a co-relationship with any herbicide
3 exposure.

4 MR. ENSIGN: Could I make a point and share a
5 bit of information? I talked with Jack Spay, who is
6 President of the Ranch Hand Association, and his estimate
7 was that no more than 10 percent, possibly 15 percent of
8 the 1200 population are today presently on active duty, so
9 we are not talking about people that are active duty Air
10 Force personnel today.

11 We are talking about people, 85 percent, probably
12 90 percent are veterans, so just logically it does
13 follow, you must conduct that within the branch in which
14 they were on active duty. You are talking about a veteran.

15 DR. SCHEPERS: We have no problem with that. We
16 have come across one or two individuals who have claimed to
17 us that they were Ranch Handers and are now ill. We have
18 also received letters from other Ranch Handers who
19 emphatically deny that they are ill and claim that those
20 who complain are not experiencing the same thing as they
21 are experiencing.

22 We can study those Ranch Handers once they
23 have left the service of the Department of Defense, and
24 they are very welcome. We are looking for them, so if you
25 know of them, if you have their names and addresses, let us

14 1 know. We will track them down because we are quite
2 interested in that group.

3 Any further questions?

4 DR. LEVINSON: Let's go back to the information.
5 I forgot who asked the questions about the tapes. The
6 material we are obtaining from the Department of Defense
7 is not the material that NAS had. It had data on spraying.
8 We have that information. The information that we are
9 attempting to gather from the Department of Defense also
10 includes troop movements which NAS did not have, so if it
11 is available, that is a very difficult quest, we will have
12 that information.

13 We will, however, also use the tapes, the spraying
14 tapes which NAS used in its earlier report and attempt to
15 correlate the two separate bodies of information.

16 We are aware, we have estimates from all of the
17 services of the gross numbers of people who might be
18 exposed, and this is as close as you can come, gross
19 numbers, because exposure is very difficult to define and
20 we are aware of the number of people in the Army, the Navy,
21 Marines and Air Force who might have this exposure.

22 The Ranch Hand group is of particular interest
23 as far as we understand because of the fact that we can
24 in many of these cases quantitate or come close to
25 quantitating exposure, so this is why it is a particularly

15 1 valuable group for study.

2 As far as the Air Force versus the VA, the Air
3 Force wanted to do the study. They felt they were prepared
4 to do it, and I think since they have gone quite far in
5 developing the protocol, it is very appropriate they continue
6 and I know from the Surgeon General that they are very
7 eager to continue at this point.

8 MR. GOLINKER: You stated that you had received
9 from the service the estimate of the gross number of people
10 who were exposed.

11 Could you tell us what that number is, please?

12 DR. LEVINSON: No. It changes every day.

13 MR. GOLINKER: Are we in the hundreds of
14 thousands?

15 DR. LEVINSON: Yes. It is certainly less than
16 the 2.4 million. The current rough estimate that we have
17 is somewhere around 500,000, but this is a very rough
18 approximate estimate. It depends strictly on how you
19 define exposure.

20 MR. GOLINKER: Do you know when the military
21 services will be able to have you asked for a deadline as
22 to when their search of their records on troop movements
23 would be provided?

24 DR. LEVINSON: No, we haven't asked for a deadline
25 because it appears to be a very complex and cumbersome

16 1 process. The unit histories, because of war-time
2 conditions, as we understand it, are only partially complete
3 and the process of searching out this data up to this
4 point is a matter of manual rather than a machine type of
5 operation, so we remain in negotiation with them about how
6 this data and if the data can be gathered, and we do not
7 as yet have a deadline as to when they will be available.

8 MR. GOLINKER: Thank you.

9 MR. DE YOUNG: The study of Ranch Handers
10 looking for effects of herbicides strikes me as analogous
11 to a study of bombardiers looking for the effects of high
12 explosives. You don't look at the people who drop the
13 weapon. You look at the people upon whom the weapon was
14 used, and I really have trouble with that study for that
15 very reason.

16 I would second Mr. Lenham's comments earlier that
17 we are getting calls from the grunts and from the dogfaces
18 who were down in the mud, who were drinking contaminated
19 water, eating contaminated food, who were sleeping in
20 contaminated jungles and so on and so forth, and had
21 literally a 24-hour existence with these chemicals at some
22 point.

23 I will grant you it is harder to document in
24 terms of dosage levels, but I end this with a question.
25 By what logic and what facts do you include the Ranch Handers

72
17 1 as a good target population? Are you certain of how they
18 2 were exposed and for the hours they were exposed?

3 DR. SCHEPERS. It is really Major Brown's
4 preogative to comment on that, but we first discovered
5 about the Ranch Hand group about a year ago, and seized
6 upon this group as being a group of military staff whose
7 exposure to the material Agent Orange could be very clearly
8 defined.

9 There is no argument about it that these people
10 lived in their planes, ate off their planes, drank water
11 in their planes, sloshed the stuff all over themselves
12 when they were dedrumming, which is one of the new words
13 we discovered, pouring it into their planes, and they were
14 spraying, and some of them were spraying, were following
15 other planes that had just been spraying and riding right
16 into the mist, so there is no argument in my mind that
17 these people were exposed, and therefore if they have
18 symptoms, their symptoms might elucidate this problem.

19 By focusing on the Ranch Hand, we did not at all
20 try not to focus on the man on whom the material was sprayed,
21 but earlier today there was some discussion as to whether
22 we know precisely where the men were, when the spray planes
23 went over. You have just given the answer in that you
24 said they slept in the stuff. They ate the stuff. They
25 drank the water, so if the spraying took place on the top

18 1 of a hill and the men were down in a valley which was not
2 sprayed, they could still have been exposed through the
3 water they drank, so we essentially are going from the
4 base that anybody who was in Vietnam at the time when spraying
5 took place could have encountered Agent Orange or dioxin
6 in some form.

7 MR. UHL: I would like to address a question to
8 Major Brown. How many pilots were there in Ranch Hand
9 and how many flight engineers or ground personnel in this
10 1200 population?

11 MAJOR BROWN: Well, I can't answer that question
12 for you exactly. We do know that the aircraft that was
13 primarily used, in fact only used, which was the C-123,
14 had three crew members in it. Two of them sat in the cockpit
15 and one in the aft section.

16 MR. UHL: Are you including the ground personnel
17 in this study?

18 MAJOR BROWN: They will be considered.

19 MR. UHL: But they are not the Ranch Hands?

20 MAJOR BROWN: If they were actually assigned to
21 the Ranch Hand organization; in the early years there were
22 some people that were taken in or asked or ordered, whatever
23 you want to call it, to come dedrum material and load the
24 aircraft. Those people were not assigned.

25 They may have been cooks. They may have been

1 aircraft mechanics. Those people were not assigned to
2 the organization itself, even when they were TDY.

3 MR. UHL: Do you have a breakdown now somewhere
4 back in your office, if not with you, or some other place
5 of the number of pilots versus the number of flight engineers
6 versus the number of people who were assigned to Ranch Hand
7 who may have been handling personnel or other personnel
8 within this 1200 population? Does that exist as far as you
9 know?

10 MAJOR BROWN: I don't know.

11 MR. UHL: It seems like we are dealing with a
12 relatively small population.

13 LT. COL. WOLFE: We are developing that list
14 right now. At the St. Louis records repository we are
15 looking for anyone, using multiple data sources to identify
16 100 percent, ascertain every last possible person that was
17 ever permanently assigned to our Ranch Hand unit.

18 MR. UHL: You cannot begin your study until you
19 have that population fully identified by name, address,
20 et cetera, and occupation?

21 MAJOR BROWN: That is correct.

22 MR. UHL: Which will be done by January, 1980?

23 MAJOR BROWN: That's right. We now have
24 approximately 1150 names of individuals. We are now in the
25 process of validating those names, and that is what Colonel

20 1 Wolfe was referring to.

2 2 3 4 5 6 7 8 9 10 11
 MR. UHL: I just have one comment concerning the
 study which I would like to make, which is I think that
 we have to look at the quality of exposure very definitely.
 I think it is a very valid study because we have talked to
 many handlers and many flight personnel who obviously
 worked the machinery who are in fact exposed all the time.
 Pilots, many pilots we talked to were exposed, but less
 so than the other people, the people who actually handled
 the herbicides or actually did the spraying, working the
 machinery.

12 13 14 15 16 17 18
 On the other hand, there is another division I
 think that has to be made between the quality of exposure
 among this population and the quality of exposure which
 I think Dr. Schepers has already referred to, in the other
 populations, the ground personnel, or people in Saigon
 who may have eaten the kind of shell fish that Dr. Nesselson
 brought back and found dioxin present in.

19 20 21 22 23 24 25
 DR. SCHEPERS: Is there any further discussion?

 DR. GROSS: Just a question, sir--this
 epidemiologic study that is discussed in Mr. Lemen's thing,
 what do we have in mind? Do we have in mind a prospective
 study or a retrospective study because the two are vastly
 different. It would require vastly different numbers of
 subjects, controlled and exposed I think, or perhaps both

21 1 kinds of studies are contemplated?

2 DR. SCHEPERS: It was my impression that we
3 wished to do the retrospective study first and then on
4 the basis of what we learned from that proceed to a
5 prospective.

6 Is there any difference?

7 DR. LEVINSON: No.

8 DR. SCHEPERS: First the retrospective and then
9 the prospective.

10 DR. HALPERIN: Until one has adequate information
11 about exposure of individuals and adequate information
12 about outcome, that is their mortality, morbidity and
13 reproductive effects, it is hard to pre-determine what
14 kind of study one is able to do.

15 DR. GROSS: You need the exposure information.

16 DR. HALPERIN: For both of them; the question is
17 what is there? What can be gotten out of it?

18 DR. SCHEPERS: Shall we go through the debate
19 on question one and proceed to question 2? This is for
20 Dr. Brick. You were the coordinator of question 2, which
21 was what are the best human population groups in which to
22 study the long-term effects of herbicides on health and how
23 may these studies best be conducted?

24 DR. BRICK: It is very difficult to me as a non-
25 epidemiologist to pick out the best populations to study,

22 and of course the discussion we have just had indicates
1 among the experts here that it is difficult, without
2 knowing the exact amounts of exposure, et cetera.
3

4 The information about 2.4 rather than 4.2 million,
5 we are talking about this group of veterans who were
6 allegedly exposed to Agent Orange, and I don't have any
7 idea as to what would be the best groups to study.
8

9 Now in Mr. Lemen's proposal he says the one final
10 end point that can be studied is mortality. Obviously
11 most of us have other interests than mortality. Most of
12 us have interest in morbidity, and apparently that is the
13 sticking point, which groups can be studied for morbidity?
14 How are you going to pick out these groups is going to
15 be difficult to decide, too.
16

17 Now in the preliminary remarks by Dr. Haber he
18 pointed out that there are 3100, there was 3100 veterans
19 who were examined under the Agent Orange program by the
20 Veterans Administration. That is correct, isn't it?
21

22 DR. LEVINSON: Yes.
23

24 DR. BRICK: These 3100, I don't know what the
25 details of the information relative to exposure is among
the 3100. We weren't given that information I believe,
but I think to make a start, I think we are going to have
to examine, the Veterans Administration is going to have to
examine veterans who were in Vietnam during this period
26
27

23 1 of time and try to determine whether there are any specific
2 morbidity problems in this group as related, for instance,
3 to another group that was mentioned of Vietnam veterans
4 who were not in Vietnam, who were not in Vietnam, that is
5 veterans who served in the services but did not go to Vietnam
6 to see whether there are any differences in the two groups
7 with reference to morbidity as well as mortality I would
8 suppose, and I think, I don't know, I don't think we are
9 going to get exact information from the Defense Department
10 with reference to a massive exposure, so it is a difficult
11 problem with reference to which groups are best to examine,
12 but I think by making a start and trying to examine veterans
13 who were in Vietnam versus veterans in the same period of
14 service who were not in Vietnam possibly some information
15 can be obtained.

16 DR. GROSS: Sir, I am experiencing an acute
17 sense of discomfort at the thought that since exposure
18 cannot be well documented, we ought to make the exposed
19 population sort of more inclusive as to include the whole
20 range of people who were in Vietnam.

21 I will tell you why this bothers me. I would
22 be surprised if all the people that were in Vietnam, the
23 military forces, were really exposed to the same extent.
24 There must have been vast proportions who probably were
25 never exposed at all. It is difficult to identify people,

1 but consider the consequence if in fact there is an
2 association between Agent Orange, dioxins, what have you,
3 and certain health problems by having the category of
4 exposed people be considered so widely as to include
5 unexposed people.

6 That will tend to dilute or mask the association,
7 and I think there is a clear danger in that. If anything,
8 I would suggest that if we really want to discover this
9 association, we ought to restrict ourselves to the only
10 cases that were well documented that could be certified
11 almost to have been exposed or exposed to fairly high
12 levels. That will make it much more likely for the
13 association to finally emerge than to sort of have it masked
14 in a more amorphous group, which is a large proportion of
15 it would not have been exposed.

16 DR. BRICK: I would agree with that if we can get
17 exposure data. Now this is the point that Dr. Halperin
18 brought up, Dr. Lemen brought up with reference to whether
19 we can get meaningful exposure data, and the comments of
20 Dr. Moore with reference to troop movements, et cetera, et
21 cetera, and the possible exposure of certain troops at
22 certain times.

23 If that data were forthcoming, then meaningful
24 groups could be studied. From the conversation that I have
25 heard around the table here, I am not sure that that data is

25 1 going to be forthcoming.

2 Am I hearing correctly or not?

3 DR. SCHEPERS: It is very difficult. We have
4 a great problem getting that information. Ranch Hand is
5 the closest to getting a group with decisive exposure.

6 Dr. Haber, we have proceeded to question No. 2
7 and we are on the topic of what are the best groups to
8 study.

9 DR. BRICK: I also brought up in that letter
10 that I wrote the possibility that the Department of
11 Agriculture might have some information. I don't know
12 whether they actually do, Dr. Haber, with reference to
13 exposed rural groups because these herbicides have been
14 used in spraying in this country and many others for
15 peaceful purposes rather than for purposes during war time,
16 and I don't know whether the Department of Agriculture
17 has that information on the possible dangers of exposure
18 of herbicides in that type of group.

19 DR. KEARNEY: I'm afraid we don't have the kind
20 of information that would be helpful in this kind of
21 determination. Largely our surveys are anecdotal with
22 any survey or scientific approach to the subject.

23 However, we have under contemplation a
24 epidemiology study on exposure to 2, 4-D and 2, 4, 5-T. We
25 have met with CDC, NIOSH, NCI and other organizations and

1 they owe us a report as to the feasibility of doing this.
2 There are problems in this kind of a study. Knowing nothing
3 about it, I can speak with some authority! There are
4 statistical problems that have given us some major concerns,
5 just purely statistics, and until we can resolve ourselves
6 that we would have a valid study, we are awfully reluctant
7 to press the button to initiate that study.

8 I understand, however, that the National
9 Association of Agricultural Applicators--it is the NAAA,
10 who are the people who provide, it is the National
11 Agricultural Aviation Association, who are the sprayers in
12 this country, have an epidemiology study underway which
13 they are going to look at their own pilots and their own
14 health records and the health records of brothers and
15 sisters and progeny.

16 Are you aware of this?

17 DR. BRICK: No, I wasn't aware of that.

18 DR. KEARNEY: I am told this. That may be a
19 very difficult group because it is an extremely hazardous
20 population and whether one can make any valid conclusion
21 for them, their mortality rate is extremely high because
22 of the nature of the occupation.

23 CHAIRMAN HABER: Dr. Lingeman?

24 DR. LINGEMAN: I talked to Dr. Erin Blair who
25 is an epidemiologist at the National Cancer Institute. He

27 told me there are two studies, one of which I think is
1 the one you were talking about, in which 1800 pilots,
2 apparently this same group, and the National Cancer
3 Institute may participate in this study.
4

5 The other study that he told me about which
6 might also be applicable here as another population group
7 to study, Dr. Blair is in the process of studying, doing
8 a cohort study of 4,400 structural pest control operators.
9 These are all Florida licensed, and the reason for using
10 the Florida group was that these people have all been
11 licensed since 1965 and have a Social Security Number
12 available for absolute identification. They are licensed
13 annually, and we know how many years their exposure has been.
14 This could be readily documented.

15 There are seven different groups of these
16 structural pest control operators. For the purposes of
17 our interest, Dr. Blair suggests that perhaps two groups
18 might be of interest, the lawn and garden spraying operators,
19 and those spraying for general household pests.

20 Now the problem here, of course, is that most
21 exterminators, pest control operators are exposed to
22 multiple compounds, and this will be the problem, separating,
23 but it is possible. It will be possible at least in some
24 of these people to find out exactly which ones of these
25 were exposed and maybe making some kind of association will

28 1 be possible.

2 This study will probably be completed later
3 in this year.

4 CHAIRMAN HABER: Which study?

5 DR. LINGEMAN: On the structural pest control
6 operators.

7 CHAIRMAN HABER: What is a structural pest control
8 operator?

9 DR. LINGEMAN: An exterminator, Orkin--I don't know.

10 CHAIRMAN HABER: Like a combustion engineer
11 turns out to be a garbage collector?

12 DR. LINGEMAN: I believe so, yes.

13 DR. GROSS: It is one that has to do with
14 structures rather than fields I would suggest.

15 CHAIRMAN HABER: Dr. Moore?

16 DR. MOORE: I would think that in responding to
17 this question, I think the first group that you could
18 point out as the best human population in which to study
19 the long-term effects, would be the group that has had the
20 longest exposure, and those are occupational settings that
21 occur starting back in 1949 in which I do know that
22 Dr. Halperin and NIOSH is in the process of trying to
23 establish a registry on those people. To me, that is the
24 first group to start with.

25 Some of these people had massive exposure, and

29 1 you have got 30 years post-exposure. That doesn't say
2 that is all one should do, but I think it's a start.

3 CHAIRMAN HABER: I think that clearly has to
4 be part of our response. That group that has had the
5 longest exposure would be certainly one of the best to
6 study. I can find no fault with that overpowering logic.

7 DR. MOORE: What that probably won't answer if
8 it is a retrospective type or a study of mortality
9 oriented study, it will not give any information of bringing
10 the information to bear on the allegations of some veterans
11 that indeed they have got children that have problems
12 because it is not just inherent in the design I don't
13 believe.

14 DR. HALPERIN: Only if there is a prospective
15 part of it attached on.

16 DR. MURPHY: A related comment, that I think
17 that the occupationally exposed group that can be specifically
18 identified with at least herbicides, and maybe more
19 specifically with those herbicides that are constituents
20 of Agent Orange, ought to be the population or group to focus
21 on.

22 I would be a little concerned with taking in
23 1800 or whatever sort of broad spectrum pest control
24 operators and particularly structural pest control operators
25 because I don't think they have exposures usually to the

1 chemicals that we are concerned about, and secondly, this
2 question of dilution that was mentioned earlier where we
3 start taking in groups of veterans who are not specifically
4 identified with exposure.

5 We might complicate that dilution problem by
6 taking in a group of people who are indeed exposed to
7 chemicals who indeed might have a set of health injury
8 parameters that are quite apart from those with the group
9 concerned.

10 I think you have to be careful of just accumulating
11 chemical workers sort of as a group.

12 DR. LINGEMAN: I am not sure, Dr. Murphy, what
13 you mean by taking in. I am only reporting on a study.

14 DR. MURPHY: I am not implying that anyone is
15 taking it in, but we are talking about identifying other
16 groups. I am really sort of disagreeing with you with
17 respect to the value of the group that Dr. Blair suggested
18 particularly for this particular purpose.

19 MR. LARSON: My name is Don Larson, and I am
20 here as an interested individual.

21 I would like to mention here in regard to long-
22 term programs with the herbicides that have been used
23 elsewhere, it might be particularly useful to go to the
24 records of the Australians and the New Zealanders because
25 they have had aerial spraying, aerial seeding for many,

31 1 many years, and with many weeds that would compete with
2 the growing seedlings that would have to be eliminated
3 through whatever means available, so they have used
4 herbicides for many years for those reasons, and their
5 records might be very useful.

6 CHAIRMAN HABER: That's a good suggestion. I
7 have a note here from Dr. Erickson who is going to have to
8 be leaving this afternoon, and he had the responsibility
9 of discussing question No. 4, and I would like to get your
10 comments on the record, Dr. Erickson. Can we interrupt
11 our normal course of events and move to topic No. 4 so
12 that you can get your statement on the record, and then
13 come back and wrap up?

14 DR. ERICKSON: Thank you.

15 CHAIRMAN HABER: I don't know how that will do
16 you the disservice of not getting it in context, but at
17 least we will get your statement.

18 DR. ERICKSON: Thank you, Dr. Haber. The question
19 which I had responsibility for answering was it is possible
20 for herbicides to have long-term adverse effects on the
21 male reproductive system, and in summary what I said to
22 that question was yes. That possibility seems to me is
23 the reason we are here, and it seems to me that a more
24 useful question would be do they have an effect or how
25 strong an effect is it, and so far as I am aware, we are in

32₁ a state of ignorance with regard to answers to those
2 questions.

3 Further, in my response to the question, I
4 pointed out that we are learning now that males may
5 contribute to reproductive problems, and that they may do
6 so through exposure to chemicals.

7 I wound up by saying that there is, of course,
8 a possibility that there is an effect which is of such a
9 small magnitude that we will never be able to detect it,
10 and finished by noting that there are a lot of veterans
11 out there to complain.

12 Just to make a concrete example, let's say that
13 as a rule of thumb roughly 10 percent of couples are
14 infertile. If all veterans who were in Vietnam are married,
15 that means there are nearly a quarter of a million infertile
16 couples.

17 Therefore, the complaints of a relative few, the
18 few thousand, really can't tell us much, and it seems to
19 me that the urgent need is more knowing whether these men
20 have a problem in excess in comparison to some appropriate
21 control group which leads me back to lend emphasis to
22 what Dr. Halperin said earlier about the VA study.

23 It seems to me that the cart is before the horse
24 to a certain extent. There will be a need to decide what
25 it is you are going to use in the way of the control group

33 1 before you start gathering information.

2 DR. SCHEPERS. I wonder if I could ask whether
3 we have information, Ms. Kilduff, on the marriage status
4 of all veterans?

5 MS. KILDUFF: Yes, we do in our patient treatment
6 file, and I believe in the DVB file, too.

7 DR. SCHEPERS. But only the ones that report to
8 us, but not all veterans?

9 MS. KILDUFF: Only those in contact with the VA
10 through the DVB or the hospital.

11 CHAIRMAN HABER: Okay. Is there any comment
12 upon the paper, question No. 4, or upon Dr. Erickson's
13 statement? We will come back after lunch and discuss it
14 in more detail, but I wanted to now ask whether there is
15 any comment about what Dr. Erickson has said.

16 DR. MOORE: I totally support what Dr. Erickson
17 has put down in writing, and I think it points out the
18 quandry that one faces, and that is this. If indeed
19 there are consequences of Agent Orange exposure and indeed
20 those consequences, reproductive or malformation effects
21 are very modest, the sad state of science today is that
22 you just won't be able to pick them up to such a degree
23 to be able to state there is a cause/effect relationship.

24 CHAIRMAN HABER: I think I would readily acquiesce.
25 One of the things that makes it so difficult is the quantitative

34 1 effects may be so slight that they may be lost in a sea of
1 other effects, and that just makes our job that much
2 harder, but clearly we have to find ways of dealing with
3 that so as not to penalize those veterans who may be
4 bothered by this. I think we are going to have to find a
5 statistical way of handling that and translate that into
6 some kind of an action document.

8 DR. MURPHY: My question is more one of I guess
9 a technical nature for Dr. Erickson who mentioned that we
10 are learning that males can contribute to reproductive
11 problems. Indeed I don't think I ever had any question in
12 that, but specifically I am wondering is there evidence,
13 can injury to the male reproductive system result in
14 malformations in offspring when this injury has occurred
15 sometime in the past?

16 I know it is possible during the period of
17 spermatogenesis, for example. I don't know how many days
18 or months that would be, but it seems to me that this is
19 a critical kind of a technical question, and certainly
20 the decreased fertility could be permanent and long lasting.

21 DR. ERICKSON: I don't think I can really answer
22 that question with any authority, but it seems to me there
23 are a few bits of evidence which suggest that yes, it is
possible.

For example, one of the suspect paternal effects

35 1 which have been suspect for a long time have been an
2 increase in dominant mutations for such things, disorders
3 such as the apara syndrome which occur with increased
4 frequency to older fathers which would sort of lead you to
5 think that it was an accumulation of insults over time
6 which had resulted ultimately in a defective child, so I
7 would guess it is possible, but I don't think there are
8 really any hard data to suggest that it does indeed occur,
9 but I don't think we looked very hard, either.

10 Downs Syndrome is a really very good example of
11 that. For years we have concentrated on the mother because
12 Downs Syndrome frequency increases remarkably the older
13 the mother gets, and in the last four or five years, we
14 have learned that probably 30 percent of babies with Downs
15 Syndrome have their extra chromosome from the father, that
16 something went wrong in mitosis in the father, yet we have
17 paid no attention to the father for years, so maybe our
18 state of ignorance is because we haven't been looking.

19 CHAIRMAN HABER: Are there any other comments
20 about this? If not, why don't we adjourn to resume at
21 1:30, and we will continue then to go through these papers.
22 We will go back to discussion question No. 3, and then
23 further discussion on No. 4.

24 (Whereupon, at 12:15 p.m., the hearing recessed,
25 to reconvene at 1:30 p.m. the same day.)

36

A F T E R N O O N S E S S I O N

1:30 p.m.

4 CHAIRMAN HABER: We would like to reconvene
5 the session from this morning, and there will be a change
6 in the order. I would like to ask the Air Force which
7 has prepared two presentations for us, to go on first, and
8 they will be finished I hope by 2:15, at which point we
9 will then begin to resume the discussion of the papers
with a discussion about them.

10 MAJOR YOUNG: It will take just a few minutes
11 to get the slides ready.

12 CHAIRMAN HABER: The presentations will be made
13 by Dr. Wolfe and Dr. Young, is that correct? Do you want
14 to introduce them?

15 MAJOR BROWN: Well, you are going to have
16 Major Young go first?

17 LT. COL. WOLFE: Yes.

MAJOR BROWN: Al Young is a chemist by training. He has been associated with the herbicide Orange issue for many years. The paper that many of you have, he was the principal author. He has been involved with the problem since his early days in England when he did some of the spray trial work. He is now involved with the Air Force epidemiology study.

25 Dr. Wolfe is involved with the epidemiological

37 1 study and is doing a great deal in learning about the
2 possible clinical side of the issue in terms of what should
3 be done, when it will be done, and how will it be done.
4 Unless someone has questions, I think that is adequate.

5 CHAIRMAN HABER: Okay. Well then, Dr. Young
6 will go first.

7 MAJOR BROWN: Yes, sir.

8 CHAIRMAN HABER: As soon as you are ready.

9 LT. COL. WOLFE: Why don't I go ahead with mine
10 while Dr. Young is getting all his slides and things
11 together.

12 I am not sure quite the best way to handle all
13 of this, but I would like to begin to talk briefly about
14 some of the suggested approaches to the evaluation and
15 diagnosis of phenoxy herbicide toxicity in man.

16 My bias is, if I can call it a bias, is really in
17 two directions. Number one, as a physician, I feel a real
18 need to respond to the medical care needs of the patient,
19 and as an epidemiologist, I feel that any information that
20 we do gather should have applications to answer the basic
21 scientific questions involved in this whole issue.

22 There are many factors that must be included,
23 must be considered before a diagnostic program can be
24 formulated to assess the adverse health effects that arise
25 from exposures to really any chemical or physical agents.

38 1 Several of these factors are presented in this
2 slide.

(Showing slides) The time between the exposure
and the development of effect, of course, allows us to
classify these resultant effects as either acute, subacute,
or chronic. There is also the duration of the exposure
which can be classified as being acute or chronic. It
can also be classified as single exposure and intermittent
or continuous, sustained exposure.

The part of the problem here, while we have talked about classical dose response mechanisms a bit this morning where as the disease either increases in duration or in frequency or amount, the effect is also increased in either severity or occurs earlier in the process, there has been some suggestion of a hypothetical of a dose response paradox with the dioxin kind of chemicals in that with a high dose, the toxin would cause cell death, but a low dose would possibly cause aberrations in the cell, abnormalities of one sort or another, but the cell would still survive, and after a prolonged latent period or lag time, subsequent disease would develop.

22 While this is purely hypothetical at this point,
23 it is interesting sometimes to consider what impact this
would have on some of our traditional medical ideas.

This concept of lag time or incubation period is

39 1 also quite important in assessing occupational illnesses.
2 This concept is the traditional one when we are talking
3 about development of especially cancer and other malignant
4 problems at later, 15, 20, 25 years later. There is some
5 delay, some confusion and debate in the dioxin issue as
6 to whether these later effects are due to storage of the
7 chemical and then subsequently release with stress or
8 weight loss, other illness at a later period of time, or
9 whether the insult did occur at the time of the exposure
10 and this lag period then was required before the disease
11 manifested itself.

12 Confounding exposures to other chemicals in the
13 work place or in fact in background levels, exposure to
14 herbicides in lawn fertilizers, lawn herbicides to kill
15 the undesirable weeds in the garden also make it very
16 difficult to attribute adverse health effects to any real
17 specific agent.

18 Many of the chemicals suspected of being hazardous
19 to health are used in combinations; 2, 4, 5-T was used
20 in combination with 2, 4-D and it also had the industrial
21 contaminant of dioxin. Many of these compounds by themselves
22 are used with dispersants or other contaminants of their
23 own.

24 The Phenoxy herbicides as they were used in
25 Vietnam created additional problems for us. Again, the

1 herbicide Orange and its predecessor purple and some of
2 the other herbicides were mixtures of several chemical
3 compounds.

4 The extremely wide range of effects that are
5 recorded in literature has been a problem. This slide is
6 just a brief summary of some of the multiple effects
7 caused by these chemicals. Many of these symptoms have
8 been attributed to all three, both 2, 4-D and 2, 4, 5-T
9 and dioxin--a lot of overlap. Many of these symptoms and
10 signs are quite subjective in nature. It would be very
11 difficult and they are very difficult to evaluate from a
12 medical standpoint.

13 The next slide goes into some of the components
14 of this, the asthenic syndrome which has been reported
15 fairly frequently--anxiety, depression, apathy, sleeplessness,
16 emotional instability are very, very difficult to get a
17 real handle on in a physical examination.

18 There is a real severe lack of clinical, defined
19 clinical end points. The next slide will show a few more
20 of the disorders that have been attributed to phenoxy
21 herbicide toxicity. Again, several of these, cardiac
22 disturbance and some of the renal kidney problems can be
23 detected with ancillary medical procedures, but all in all
24 we are still struck and faced with a fast range of
25 symptomatology.

41 1 This slide is a brief review and folks here at
2 the VA were kind enough to give us some data on some of the
3 initial claims. This was 361 claims. Of course, they
4 were all male. Mean age was 34, and interestingly enough,
5 there were at least on the average of two symptoms or
6 slightly more per claim.

7 Sixty-six percent of these were Army veterans;
8 17 percent as you can see were former Marines; 11 percent
9 had been in the Air Force, and 5 percent were Navy perso-nel.

10 DR. GROSS: How does that correlate with the
11 actual distribution by corps in Vietnam?

12 LT. COL. WOLFE: I am not sure of that. This
13 slide, again based on those claims, has broken the signs
14 and symptoms into these basic categories. As you can see,
15 the dermatologic and neuropsychiatric categories make up
16 substantial, are the two primary areas of difficulty that
17 these people had had.

18 We can now go back to that slide number 3 if I
19 may for just another brief minute or two. The identification
20 of, as was also brought up this morning, populations at
21 rest was, is quite difficult, and verification of their
22 exposure histories is even worse.

23 Now when it comes to reconstructing the exposure
24 history or trying to quantitate this exposure, we are in
25 a very difficult area, and Major Young will be discussing

42 1 that to some extent a little later this afternoon.

2 This factor of identification and verification is
3 probably the major obstacle to any epidemiologic study.
4 In order to reach a valid conclusion, we really need to
5 identify the entire population at risk.

6 We could do a study on those folks of the 3100
7 that have identified themselves to the VA as thinking
8 they have problems, but in fact that probably represents
9 a biased group. Those are again a group of veterans that
10 we are aware of and they are also the veterans that, as
11 has been mentioned, have not presented themselves to the
12 VA medical system in any way, shape or form at this point,
13 so these studies are quite difficult.

14 After consideration of all these factors, there
15 are really three basic approaches that can be used in
16 formulating a plan of attack for the physical examination
17 of individuals who suspected or claimed results of herbicide
18 toxicity.

19 These approaches essentially fall on a continuum
20 from an examination limited, very limited in scope, limited
21 just to the patient on one end, all the way up to an
22 extremely comprehensive study of the patient, his family,
23 his past history, and generally a social-cultural-medical
24 survey.

25 The first approach generally would limit

43 1 itself to an examination of those conditions which are
2 proven or widely recognized to be the result of phenoxy
3 herbicide toxicity. This strategy would essentially limit
4 itself to dermatologic examination only. This approach
5 basica-ly would assume that chloracne is the herald sign
6 of herbicide toxicity, and that other signs of more severe
7 toxicity would not really occur in the absence of chloracne.

8 This fact may not be true, and there is some
9 very presumptive, very early evidence in some studies that
10 in fact there may be signs of toxicity without chloracne.
11 A lot of this information is being debated and has not been
12 verified at this point, but it is a potential problem.

13 The second approach is somewhat more comprehensive
14 in nature and would include evaluations of those conditions
15 which while not proven to be associated with herbicide
16 exposures are nevertheless suspected. These include
17 peripheral neuropathy, minor or even more major psychological
18 disturbances, and of coursec disturbances in liver function.

19 Recent reports from Seveso, Italy are beginning
20 to enlighten some of these areas and there have been some
21 reports out of Seveso concerning the neuropsychiatric
22 problems. It seems as though there is an increase in some
23 neurological kinds of problems--delays in nerve conduction
24 times and a few other sorts of conditions. A more
25 comprehensive approach is indicated not only by the spectrum

44 1 of illness that has been shown in the veterans' complaints,
2 but also from extrapolation of animal studies. While
3 again extrapolation from animal studies has been described
4 by some people as a wasteland of uncertainty, there is
5 still valuable evidence to be gained from those data that
6 will support the broad range of signs and symptoms that
7 had been recorded.

14 Fertility histories, pregnancy outcomes, and
15 evaluation of family members would be an integral part
16 of this kind of an effort.

17 The major factors now that would affect the
18 choice of which diagnostic approach that should be used
19 are basically time and manpower. An approach as in No. 3,
20 comprehensive approach, would take a good bit of time to
21 plan and implement. As the comprehensiveness of the program
22 increases, the makeup of the medical specialists involved
23 to conduct that examination would also be a real constraint.
24 There just aren't that many neurologists. I know in the Air
25 Force we don't have an overabundance, and I don't imagine

45 1 the VA has an oversupply of neurologists either.

2 After all these factors have been explored, the
3 basic scientific question still remains--in fact, does
4 exposure to phenoxy herbicides result in adverse health
5 effects?

6 This decision as to which of these approaches
7 should be used is obviously not an easy one, and an
8 argument can be presented to support either of the three.
9 Perhaps the best solution lies midway along that spectrum
10 between the limited approach and that broad diagnostic
11 net cast by this third approach.

12 Whatever the choice, again my epidemiologic
13 background is coming through, I think standardized procedures
14 and examination techniques are absolute musts in this kind
15 of an effort, both to assure that every veteran gets the
16 same treatment that he deserves, and other veterans with
17 similar problems also, but also to again gather a data base
18 that can be used to answer this scientific question because
19 we in this room are not the only ones interested in this
20 basic problem.

21 Standardization of procedures will ensure a
22 maximum degree of comparability between examination
23 facilities. Obviously the best approach would be to use
24 a single center to bring everyone to one specific facility
25 and have the examinations performed by the same group of

46 1 physicians and paraprofessional personnel. This obviously
2 would be very difficult to do. I don't think anyone would
3 be able to handle the patient load that is expected with
4 this kind of a study.

5 The only other alternative would be to use
6 multiple centers but with a very clearcut, very well
7 outlined protocol of procedures on how the questions are
8 supposed to be asked, how the procedures should be done.

9 A thorough general physical examination should
10 be an integral part of evaluation, regardless of the level
11 of complexity that is finally selected--urinalysis, complete
12 blood counts, sedimentation plates, platelet counts,
13 cardiograms, BUP's and creatinines and lipid studies,
14 cholesterol and triglyceride studies should probably be
15 considered as part of this general examination, and chest
16 X-ray or abdominal X-ray may well also be helpful.

17 The hepatic dysfunction that has been claimed
18 with herbicide exposures can be investigated with any of
19 the usual enzyme procedures, and a battery of several would
20 probably be quite desirable. SGOT's, transpeptide, LDPE's,
21 any number of these enzymes are commonly used and would
22 be quite helpful in evaluations.

23 Endocrine dysfunctions have also been suggested
24 as being caused by these herbicides, and an evaluation of
25 glucose metabolism and thyroid function would also be

47 important to consider. The dermatologic examination
1 itself should be performed in all three. Obviously all
2 three of these three approaches I have outlined include
3 a dermatologic examination and a detailed search for
4 chloracne and possibly the inclusion of evaluation of
5 porphrine metabolism would also be very useful.
6

7 A complete, detailed neurologic exam is almost
8 a necessity. Some of the recent studies, the studies
9 underway at Nitro, West Virginia, Seveso studies, some
10 others have relied heavily on nerve conduction velocity
11 as measures of neurological function to detect early
12 clinical and even subclinical neurological disease, and
13 this may also be a very valuable tool.
14

15 The psychological function of these individuals
16 will also be assessed. This athetic syndrome discussed
17 briefly earlier is very difficult to evaluate. Many of
18 these same symptoms are very closely age related. We all
19 age, unfortunately, and many of these things--the fatigue,
20 the boredom with the job, the loss of sex drive--many of
21 these things are obviously age related, and this is a major
22 confounding factor. Only through careful psychological
23 evaluation will these effects be able to be teased out and
24 hopefully be able to be separated from one another.
25

26 The reproductive effects which have been claimed,
27 impotence and some of the others, may well be able to be
28

48 1 evaluated with a determination of the reproductive hormones.
2 Semen analysis is also a very reasonable procedure, so
3 that can be included to investigate these phenomena of
4 fetotoxic effects--the abnormal birth, the miscarriages,
5 the birth defects.

6 In the past, most of the literature, most of the
7 scientific work has been based on the effects through the
8 female, but again the studies have just not been performed.
9 In fact, the male may well be able to transmit these
10 conditions either through a chromosomal variation or it has
11 been suggested by some that dioxin may be excreted in the
12 seminal fluid and in turn exert an effect.

13 These again are hypotheticals that have not been
14 evaluated even in some of the animal studies, and there is
15 a real need for some of this research.

16 Again, because of this lack of data, it may well
17 be important that in those individuals who have a history
18 of fertility problems or a history of birth defects and
19 their families that the chromosomal studies may well
20 contribute to this kind of an evaluation effort.

21 Immunology studies are also, can be useful. In
22 the aftermath of the Seveso, Italy accident, immunological
23 studies were conducted and so far they have been unable
24 to detect any major effect on the immune system.

25 However, there are only three or four years now

49 1 after their accident, and in a few more years maybe some
2 of these immune problems may well surface.

3 Fat biopsies have also been suggested and this
4 is a difficult area. The procedures are very difficult
5 to do. They are very time consuming. There is a lot of
6 interference with the dioxin determinations by DDT residues,
7 PCW's that are ubiquitous in the environment and likely
8 very ubiquitous in everybody's fat. Everybody sitting in
9 the room probably has some of these contaminants floating
10 around. These contaminants show up in the lab procedures
11 that are now used. The ability to detect the differences
12 between dioxin and these other chemicals, it is a very
13 tedious procedure to perform.

14 For these reasons, it may be reasonable to
15 include fat biopsies only in those individuals who have
16 exhibited chloracne or other disease conditions that are
17 felt to be more likely due to the herbicide exposure, to
18 use the fat biopsy as a more selective kind of procedure
19 in specific individual cases.

20 The optimum approach to the clinical evaluation
21 of this herbicide toxicity again lies somewhere down that
22 continuum, and regardless of how comprehensive the examination
23 is to be, I feel it is still a real necessity to develop
24 a standardized program, and above all, to motivate the
25 examining physicians and the other paraprofessionals that

50 1 are involved to keep them aware of the problems, and aware
2 of their role in this whole effort.

3 However, it should be kept in mind that the
4 determination of cause and effect between abnormal health
5 and exposure to phenoxy herbicides cannot be based solely
6 on a clinical evaluation. Cause and effect really needs
7 to await more definitive epidemiology studies based on
8 large numbers of individuals.

9 The ability to make a cause and effect
10 determination again is based on numbers of people as well
11 as the prevalence of the disease condition you are looking
12 at.

13 If it is a very rare disease that is hardly
14 ever seen in the normal population, two or three cases in a
15 group of a thousand folks or so would be very meaningful.
16 However, if it is like many of these other conditions that
17 are age related, and they are very common, it may well
18 take studies of 20,000, 30,000 people to detect significant
19 differences in the incidence of heart disease say in a
20 group of Vietnam veterans.

21 In conclusion, we need to keep in mind that the
22 purpose of a diagnostic evaluation program is not to condemn
23 or defend the use of defoliants in the Vietnam War, but
24 rather to identify adverse health effects in the veteran
25 population and to refer these people to the appropriate

51 1 medical care and followup that they will need.

2 The question then becomes have there been, are
3 there currently, and will there be in the reasonably
4 foreseeable future any adverse health effects that can be
5 traced and linked to herbicide exposures?

6 Thank you very much. Major Young?

7 MAJOR YOUNG: (Showing slides) My first slide
8 is not mine. What I would like to do is to give you an
9 overview of first Ranch Hand in Vietnam. There is a lot
10 of misconceptions going on, and I think this overview will
11 give most of you a good feel for the Ranch Hand program.

12 It will also give you a good feel for perhaps
13 how many people may have been involved. I have a very
14 short film clip I will show in a few minutes after I give
15 some earlier shots or slides of the Vietnam area.

16 I would like to talk a little bit then about
17 exposure and give you some parameters that I think are very
18 important for our consideration of an exposure index.

19 Pacer Ho was the operation that the Air Force
20 was involved in in the destruction of the herbicide.
21 This was 1977, a timeframe when industrial hygiene techniques
22 were available to monitor the herbicide in the air, and
23 all during the dedrum operation and destruction of that
24 herbicide, those industrial hygiene data could be very
25 valuable in exposure in Vietnam, and I am going to bring

52 them up, and then last I am going to talk about the
1 environmental fate of the herbicide and dioxin as we know
2 it today. Someone earlier alluded to the fact that here
3 are these ground troops living in the area that had been
4 sprayed. They touched the plants. They eat the plants.
5 They touch the soil. They live on the soil.
6

7 Well, let us talk about how that in fact may
8 relate to exposure from our history of the environmental
9 fate.

10 I have to preface the use of herbicides in
11 Vietnam with two pictures. Those pictures deal with how
12 phenoxy herbicides have been used in the United States
13 and worldwide and continue to be used throughout most
14 of the world, that is, in this kind of a situation, this
15 is what prompted their use in Vietnam, and it is important
16 we understand that.

17 This is a right of way, heavily infested with
18 brush. This is the same right of way after two pounds per
19 acre one year later--an excellent technique for removing
20 dense brush, ecologically one that appears to be very sound,
21 and it was that concept then that prompted Maxwell Taylor,
22 General Taylor in 1961 to go to President Kennedy and inquire
23 about the use of defoliants in Vietnam.

24 With this kind of a perspective, it became very
25 obvious that defoliants could be used in Vietnam to reduce

53 1 air attacks, and that was the salient reason for why
2 they went to Vietnam, to save American lives.

3 To that end, in January of 1962 Operation Ranch
4 Hand began. After tests had been conducted to show that
5 defoliation could be carried out with aircraft, that
6 effective defoliation could be obtained, Ranch Hand began
7 then. Initially it consisted of three C-123's. By 1964,
8 the program was considered such a success that six were
9 committed, six C-123's were committed.

10 By 1965, 12; by 1966, 18; and later in that
11 year, 24 aircraft; by 1967, 36 aircraft were flying Ranch
12 Hand missions.

13 Initially the crews were assigned TDY, temporary
14 duty to Vietnam. This was the '62 through '64 timeframe.
15 They were gone over for about a four-month period and
16 would come back to the United States. Many of them rotated
17 back and fourth for two or three years.

18 Beginning in 1965, the program began to have what
19 we call permanently stationed personnel. That is when the
20 large number of Ranch Hand people began to be assigned
21 to Vietnam.

22 Now Ranch Hand was the name of the squadron, the
23 aerial spray squadron, as well as the operation, and to
24 that end we had about 1200 as our estimate. Now some of
25 these may have been dedrummers. Some may have been mechanics.

54 1 Many of them were pilots, navigators. They were all
 2 members of the Ranch Hand program.

3 Herbicide was transported to Vietnam in 55 gallon
4 drums. Once in Vietnam, it was transferred by pumps from
5 the 55 gallon drums to these F-6 tankers we call them. They
6 were transported to the aircraft.

7 I would point out many people believe that
8 Herbicide Orange went to Vietnam in 1972. Not true.
9 Herbicide Orange did not go to Vietnam until 1965. The
10 first use of the phenoxy herbicides in '62 were with
11 materials we call purple, pink and green. That is very
12 important to note because the dioxin concentration of
13 purple, pink and green was far in excess of that of orange,
14 and I will show you some data in a few minutes to elaborate
15 on that.

16 Likewise, the quantity involved was tremendously
17 different, but so were the number of people that would
18 have been exposed. Recognize that there were very few
19 ground troops in Vietnam in the '62 through '64 era, but
20 after that, the ground troops increased tremendously, so
21 that is something to consider.

22 The primary dissemination vehicle was the C-123
23 aircraft. It was outfitted with what we call the internal
24 modular spray system, the AA45Y1, and it is important
25 because we have a tremendous amount of dissemination data

55 1 for this piece of equipment which may help in the calculation
2 of an exposure indices.

3 The C-123 is a cargo aircraft, and this is a
4 picture of a new one, very recent--this one from Vietnam,
5 but it is a recent picture. Inside the aircraft there is
6 lots of room, and that AA45Y1 dispenser would just roll
7 right into this then.

8 In addition, spray booms were outfitted under
9 each wing. There was a 22 foot boom, 16 nozzles per boom,
10 one under each wing, and also one right behind the cargo
11 door.

12 Now that was the configuration for missions.
13 Let's go on a mission. Here we are in the C-123 in
14 formation. Now because of the few number of aircraft in
15 Vietnam in the '62 through '64 time period, a mission
16 usually consisted of only one or two aircraft, but by 1961
17 a mission could have as many as 12 aircraft.

18 In 1966 and '66 because the enemy began to focus
19 on the Ranch Hand aircraft, fighter support was provided
20 and the orange band did not say it was carrying Orange
21 Herbicide but rather it was a Ranch Hand aircraft. It may
22 well have had Orange Herbicide inside of it.

23 Typically orange was disseminated twice a day in
24 the morning and in the evening. A couple reasons involved
25 there--one, it was nice from a physiological point of view

56 1 for the plants, for treatment to be given early in the
2 morning because defoliation was much more effective in the
3 morning or in the evening.

4 Secondly, in terms of tactics, if the aircraft
5 could come in from the direction of the rising sun or the
6 direction of the setting sun, then the enemy would have to
7 look up into that sun to see the aircraft. That would
8 give them perhaps that narrow margin of safety they would
9 need to fly through a targeted area. That does say that
10 there were enemy troops on the ground, enemy troops on the
11 ground.

12 Here is an early morning dissemination. Typically
13 a single aircraft had a spray about 260 feet. It could
14 spray about 952,000 gallons in 3.5 to 4 minutes. It is a
15 total distance of about 8.7 statute miles. They flew
16 normally about 150 feet off the ground and sometimes when
17 the trees were 100 feet, the aircraft were just above the
18 top of those trees, and they flew about 140 miles per hour.

19 Here is an aircraft path in an area that had
20 been previously sprayed about a month earlier. Again an
21 important thing to remember was that the defoliation was to
22 remove the vegetation to uncover enemy sites. Now what I
23 am suggesting to you is it would have been very rare to
24 have sprayed our own troops. That would have been a rare
25 event--not that it could not have happened, but defoliation

57 1 speaks to trying to remove the vegetation so we could
2 uncover enemy cache sites.
3

4 For example, in the Mekong Delta after a
5 defoliation mission, about two weeks later as the leaves
6 began to disappear and to fall, a whole boat city was
7 located, over 140 craft all tied together. Then it could
8 be attacked, but we didn't even know it was there before
9 defoliation. That is the point I am trying to make.
10

11 Here is an aircraft again, different areas,
12 spraying. Here is a picture of the Ash Valley, three
13 aircraft involved here. Here is a canal that had been
14 sprayed about three months earlier, regrowth in some
15 places beginning to show. Here is a cache site, tunnel
16 network, a whole city built underground uncovered.
17

18 Here is a road uncovered. Here is another road,
19 conifer forest, a different application of a different
20 herbicide. This was white, 2, 4-D and picloram. We
21 mentioned the phenoxy herbicide 2, 4-D and 2, 4, 5-T. It
22 appeared in green, in purple and in orange, but we haven't
23 talked about the others.
24

25 You see, white was used also, picloram and 2, 4-D;
likewise cacodylic acid. To say one was sprayed by aircraft
does not necessarily say he was sprayed by Orange. There
could have been others. We haven't even talked about
insecticide missions, and I will show you some of those yet.
26

58 1 This continues to complicate that idea of an exposure
2 indices.

3 Here is a forested area that was sprayed in
4 1968. This is about half a dozen to eight aircraft wide,
5 well over 8 to 9 miles in length. One of the reasons why
6 the critics began to say ecological damage, the truth of
7 the matter is we sprayed 10 percent of Vietnam. That also
8 says that the likelihood of a troop moving into defoliated
9 areas would have been quite large--another point to consider.

10 Here is an area that was sprayed for crop
11 destruction. This particular area had been sprayed by
12 blue, cacodylic cid. On your left versis your right--now
13 Ranch Hand squadrons did not include helicopters. The
14 Army, the Navy, the Marines, and the Air Force all had
15 Hughey Aircraft, helicopters that were involved in the
16 spraying of herbicides around base perimeters.

17 About 120 different spray riggs existed to go
18 into helicopter units. The crews assigned to those
19 helicopter units were not assigned with a specific job of
20 spraying herbicide. It was an incidental job. Therefore,
21 there may be many helicopter crews that were involved in
22 just a few missions. Some may have been involved in many
23 missions.

24 Interestingly enough, very little Orange was
25 disseminated from helicopters. Most of the helicopter

59 1 disseminations involved cacodylic acid, and a typical use
1 2 for cacodylic acid.

3 This is the hydraul system that fit into the
4 aircraft, and look at the rags wrapped around this. Could
5 exposure to the herbicide have been possible? You bet.
6 Look at this. No question, but here was the big application
7 of herbicides by helicopters. This was for what we call
8 control of elephant grass, a grass that would grow a foot
9 a day and get to be 30, 35 feet tall, and the enemy could
10 come in through that grass right up to the edge of the
11 base, lop over their mortars, and leave. We never saw
12 them coming or going, and hence the use of blue which
13 would brown that within 24 hours. Then it could be burned,
14 but because blue was a contact herbicide, regrowth would
15 occur in just a few weeks and the problem would have to be
16 treated again--a reoccurring problem.

17 Here is an example, though, of Orange being
18 disseminated from a chopper. This is chopper swaths up
19 near the demilitarized zones that were sprayed. This is
20 a swath from a helicopter, B-52 craters on either side.

21 All Ranch Hand aircraft that sprayed herbicides,
22 all C-123's were camouflaged, but there were also C-123's
23 spraying material that were not camouflaged. These were
24 "the bug birds." These were aircraft that disseminated
25 malathion, and there were hundreds of thousands of gallons

60 1 of malathion sprayed around wastes, around the edges of
1 2 the cities prior to battles. It would have been a common
3 3 thing for troops to say we saw a spray bird come over and
4 4 spray.

5 Of course, if that spray bird had been spraying
6 malathion for control of mosquitos, it would have been a
7 common sight throughout much of the combat regions of
8 Vietnam, and as a matter of fact it was a common sight.

9 The distinction, however, was that it was not a
10 camouflaged aircraft, and even the enemy knew that that
11 aircraft was spraying for the control of vectors, mosquitos,
12 and these aircraft took very few shots as compared to
13 Ranch Hand aircraft.

14 One of the differences not only in the camouflage,
15 but look at the nozzles under that wing--60 nozzles on that
16 boom, tremendous difference in terms of the particle size
17 that was sprayed.

18 I alluded to purple, pink and green. That amount
19 of that material used in Vietnam through out procurement
20 records is about 218,000 gallons. It was procured in late
21 1961. It was delivered to Vietnam in 1962, and no other
22 purchase, green or pink, was ever procured and sent to
23 Vietnam. This was it, a one-time shipment, so the first
24 few years, '62, '63 and '64, had all of that material to
25 spray, just that quantity, and most of that was used along

61 1 roadways, very small amount of crop destruction involved
2 with that material.

3 We are going to talk a little bit about the
4 TCDD contents in a couple of minutes. Now beginning in
5 1965 Orange arrived and Orange was the major herbicide
6 used in Vietnam--a tremendous amount, in excess of 10,600,000
7 gallons, a tremendous quantity, no doubt about it, but how
8 was it used? Another question we have to ask.

9 Let me break Orange out. Some people have
10 wanted to see these figures. There is the amount of
11 2, 4,-D, 2, 4, 5-T, our estimate of dioxin concentration
12 based upon archive samples of all of the materials. Now
13 it doesn't say it is an exact figure, but we do believe it
14 to be fairly close. That much was probably disseminated
15 on about 3.2 million acres of land in Vietnam.

16 The inland forest received almost exclusively
17 orange. The mangrove forest received almost exclusively
18 orange. The cultivated crops received almost exclusively
19 blue.

20 I would like to stop for just a moment and show
21 you a very short film clip of Ranch Hand in Vietnam. We
22 are going to be able to see a lot of indications of why
23 the Ranch Hand population may be our very best population
24 to study because of exposure to herbicides. May I have
25 the film, please?

62 1 (Showing film) These are your F-6 tankers that
2 were used. Herbicide was transported to the aircraft.
3 Look at the ground. It is covered with herbicide.

4 These are the nozzles in the tail boom. There
5 were really no nozzle shutoff valves. Personnel do not
6 have gloves on. They are taking the herbicide into the
7 aircraft. Here is one of the valves. Here is a picture
8 of the C-123 at DaNang.

9 Here is a formation leaving DaNang, one of about
10 three major bases for the Ranch Hand aircraft, on their way
11 to a mission, at least six aircraft probably involved in
12 this one.

13 Okay. A pilot and a co-pilot, two officers in
14 the front; an enlisted man, the console operator in the
15 back; he is now turning on the AA45Y1. The leader aircraft
16 starts to disseminate. He is flying right into that spray.

17 Okay. I want to show you this terrain picture
18 on the next shot. You can see the aircraft in line. Here
19 is a good shot of a pilot. Watch as we go over the terrain
20 here. This is a side door that is open, which talks about
21 the effects of the herbicide perhaps coming in that side
22 door. We are going to go beneath that spray. We are
23 passing over a mangrove swamp, by the way. This is a side
24 door that is open--as Bill Curtis called it, the deadly
25 white fog. That is where the connotation comes from, this

62 1 white cloud.

118

2 Okay. End of film; that is a very, very quick
3 shot of disseminating the herbicide in Vietnam.

4 Let me talk now about exposure. I have given
5 you some factors for your consideration. We believe that
6 there were three groups of personnel exposed to herbicide
7 in Vietnam. The first group we call the Ranch Hand
8 personnel. I have already told you of around 1200. That
9 is the group that the Air Force proposed to focus on.

10 The second group we call the secondary support
11 personnel, the Army pilots that may have been involved in
12 helicopter spraying, the Navy pilots, even the Marine
13 pilots. There were also people that transported the
14 herbicide say from Saigon out to Beinhoy out to DaNang.
15 Those people transported the herbicide in 55 gallon
16 containers, but we know that in general, there was about
17 .1 percent of those containers that were defective so it
18 probably would not have been uncommon to have a drum leaking
19 and personnel picking that drum up and moving it around.

20 There were specialized mechanics, electricians,
21 for example, that were assigned to work on various aircraft
22 that may have been in fact not assigned to Ranch Hand but
23 had to work in contaminated aircraft.

24 There were also during the Tet Offensive situations
25 where every single C-123 available was reconfigured for

63 1 transport and brought into the operation, so here is a
2 contaminated aircraft that non-Ranch Hand pilots might have
3 flown, so these are all people that may have been exposed,
4 a second group then--how big, we have no idea.

5 The last group that we could talk about would be
6 those individuals on the ground and there are some scenarios
7 that we could create, individuals that might directly be
8 exposed, sprayed directly by the aircraft, individuals
9 that might have gone into an area that had just been freshly
10 sprayed, or individuals that might have gone into an area
11 weeks or even a month or two months after defoliation
12 operation, so those are the three groups that one might
13 talk about.

14 How large are those populations? We have talked
15 about the size of the Ranch Hand. This morning we hear
16 the figure 500,000 for the ground troops, but if you
17 suggested about half all the combat troops in Vietnam, all
18 the troops in Vietnam were involved in combat operations,
19 about a million may have been involved in areas that might
20 have been defoliated, but recognize that 10 percent of
21 Vietnam was defoliated. That doesn't say all of Vietnam
22 was defoliated.

23 There were many bases that did not receive any
24 herbicides of any kind, so that has to be considered.

25 We believe that for a troop to have fully received

64 1 herbicide directly, no canopy involved, just actual
2 herbicide application on top of them, was probably a unique
3 event; that they saw aircraft disseminating herbicide may
4 not have been unique. That they perhaps were involved in
5 being sprayed by a bug bird may not have been unique, but
6 we believe it to have been unique for a Ranch Hand aircraft
7 to have sprayed troops with Orange--might have been some
8 other situations with even blue or white, probably rare
9 for ground troops moving into an area that had just been
10 defoliated, probably a rare event, but much more frequent
11 would have been troops entering into a defoliated area
12 and defoliation usually took anywhere from two weeks to
13 a month to a month and a half, so if we say a month average,
14 that probably was a frequent event.

15 Now how does one go about preparing calculations
16 on exposure? Well, once you start in this area, you have
17 to begin to speculate. What kind of scenario are we going
18 to set this man up in? Are we going to put him out there
19 with a short-sleeve jacket on, with a helmet on? How do
20 we actually create an actual event?

21 We don't know all the different ways these people
22 went into the areas, so just speculating what an actual
23 event might have been is very difficult. If we do come
24 up with a value, then how do we take and put it to a meaning?
25 What does it mean? We have no data on no-effect levels. We

65 1 have some data, but we don't know what they mean in terms
2 of man. No effect levels for animals perhaps, but for man
3 we just frankly don't know. To say that he received 10
4 manograms of TCDD per kilogram body weight may have
5 absolutely no meaning. That is the point I am trying to
6 make. I think we could calculate various exposure levels
7 for scenarios, but would that really be an honest evaluation?

8 You must remember that all of these things change--
9 the size of the individual, the body surface exposed, the
10 route of exposure, inhalation versus these large drops.
11 The mean diameter of a drop of herbicide is 350 microns.
12 That is not a particle that one would inhale, but what
13 if it begins to volatize so the temperature during the
14 time it was disseminated may be a big factor.

15 The frequency, how many times did an individual
16 go into that area that had been sprayed? How long was the
17 individual in the area? Was it Orange? Was it white?
18 Was it purple? Was it blue? Was it malathion, and was
19 that herbicide produced back in the 1950's or early 1960's?
20 Did it contain a large amount of dioxin or was it in fact
21 Orange that had perhaps a low dioxin concentration?

22 Let me elaborate now on the nature of some of
23 these things. Many people do not understand about the
24 herbicide itself. Let's talk a little bit about that.
25 Then we can talk a little bit more about handling and quantity

66 1 sprayed which we have already alluded to a little.

2 For example, Orange contains about 8.6 pounds
3 of active ingredient per gallon. It is water insoluble.
4 Had it been sprayed into a pond, most of it would have
5 gone straight to the bottom and been in the silt. Even
6 more important is the insolubility of the dioxin, the
7 vapor pressures. Do you realize that so many other materials,
8 including water, are much more volatile than were the
9 herbicides, and the vapor pressure of TCDD, somewhere
10 around one times ten to the minus 7, suggests that its
11 volatility would have been remote.

12 Viscous, this talks about the ease of manufacturing--
13 about the same rate as light machine oil. It is non-
14 corrosive to metal, but it was deleterious to boots,
15 particularly neoprene, and that was one of the problems
16 that the Ranch Hand crews had. As they worked around
17 those aircraft, the bottom of their boots got eaten off
18 and that was a constant problem, to renew their boots.
19 Ranch Hand very definitely had a problem in that area.

20 The material was very stable in terms of a shelf
21 life, and that, too, should be considered.

22 Now in terms of some of the biological aspects,
23 I will very briefly talk about those. We know that in
24 the case of herbicides, when they are applied to a plant,
25 they are rapidly, rapidly absorbed and generally speaking,

67 1 they are rapidly metabolized.

2 In the case of animals, they are readily ingested.
3 Likewise, they are also excreted quite readily, and that
4 should be kept in mind.

5 Human skin absorption studies that have been
6 conducted suggest that about 6 percent of the applied
7 dose on the skin, and these were forearm studies, was
8 absorbed within the body. This was detected over a five
9 day period using urine excretion data. Toxicity was in
10 terms of LD-50 for rats, both by inhalation and by oral.

11 MR. GOLINKER: What on?

12 MAJOR YOUNG: This is on Orange. These are the
13 data I want you to see on dioxin concentration. We have
14 looked at some 488 samples of Orange. These were Orange
15 samples that had been produced probably some of them even
16 to the early dates, the 1965 timeframes, although we don't
17 absolutely know that. We believe they represent that.

18 These were samples collected over a long time
19 period literally. The mean concentration went from .02
20 parts per million less than .02 to 15 parts per million.

21 The weighted mean concentration of Orange we
22 believe to be about 1.98 parts per million, but compare
23 that to purple, material that had been produced much, much
24 earlier, and when you hear people speak of those large
25 values of 47 parts per million, they are really referring

68 1 to the purple. It has been confused by the press as being
2 part of the Orange inventory. It was separate. It was
3 different. That herbicide went to Vietnam, as I indicated,
4 in January of 1962. No more ever came into Vietnam--in
5 the range from 17 to 47 parts per million in the five
6 achieve samples that we have, 32.8 parts per million mean,
7 so the pre-1965 versus the post-1965 periods may be important
8 in terms of dioxin concentration.

9 I mentioned to you about how Orange was used
10 specifically, about 90 percent in forest defoliation,
11 8 percent in crop destruction, and about 2 percent around
12 the base. We will talk a little bit more about the base
13 in a moment.

14 Here is some application parameters that may be
15 of interest. The speed of the aircraft was about 130 knots;
16 altitude, 150; the tank volume, 1,000 gallons; the spray
17 time, 3.5 to 4 minutes. The mean particle size was about
18 350 microns, which says it has a volume of about .61
19 microliters. One could say that if a man had 25 percent
20 of his body exposed, you could take a rough calculation
21 and get a volume that could have hit someone on direct
22 application. It can be done.

23 A spray swath normally applied at 3 gallons per
24 acre; a single tank would treat about 340 acres at a time.
25 These are the articles on a glass plate from actual

69 1 missions of Orange from the C-123 under Vietnam conditions.

2 Here are the chromacoat plates showing you how
3 that particle is disseminated, its uniformity. To give
4 you some idea, someone says that isn't Orange. That is blue.
5 It is Orange. The blue died.

6 All the drums were marked with a color band
7 around the drum. In the early 1962 timeframe, although
8 those drums were marked with a 12 inch band, so it was
9 easier to distinguish '62 from '65 products even if the
10 color of the band had faded; about 50 days in shipping
11 time from the U. S. to Vietnam; about .1 percent of the
12 drums were defective, as I mentioned to you; 85 percent
13 went to Saigon; 35 percent went to DaNang, the two ports
14 that it came in in Vietnam, the drums transported in Ranch
15 Hand squadrons by non-Ranch Hand personnel; transferred
16 then to the F-6 trailers, and the Orange that was used
17 around the base perimeters was Orange obtained from the
18 drippings of the drums.

19 All the drums after they were initially sucked
20 out by the pump were set up and drained into containers.
21 That was the Orange that was sprayed around the base
22 perimeters.

23 The drums went primarily to runway and bunker
24 construction, although we are aware that many of the
25 Vietnamese did in fact manage to take drums away from the

70 1 area where they had been stored, empty drums, and we do
1
2 know that many of those empty drums might have, probably
2
3 were used in the storage of gasoline.
3

4 Someone mentioned that C-120 aircraft, or C-123
5 aircraft probably defoliated Saigon. Not true. What
6 apparently happened was that the C-123, the drums were
7 picked up by the Vietnamese, gasoline was put into them,
8 the gasoline was put into the mopeds, and the mopeds
9 fogged Saigon--could well have happened. It is a tale to
10 tell!
10

11 The aircraft loaded from F-6 trailers, so there
12 wasn't a lot of drum in the aircraft itself.
12

13 In terms of environmental fate, we have to talk
14 about the air, the vegetation, and the soil. The particle
15 size for the herbicide, this is an important aspect for
16 exposure. About 1.9 percent of the particles that were
17 disseminated from our AA45Y1 spray system were less than
18 100 microns. Now only those very small particles might
19 have been inhaled. You have to talk about very, very
20 small particles for inhalation exposure.
20

21 Now the bulk of them were in the 100 to 500 micron
22 range, and 20 or so percent in the greater than 500 micron
23 range. Because of the size of the particles, we have
24 studies that show that 87 percent of that material impacted
25 within one minute from the time it was applied. However,

71 1 about 13 percent of it may well have drifted or volatized
2 and one now can talk about downwind areas being contaminated.

3 Photodegradation of the herbicide has been well
4 documented in terms of effect on vegetation from canopy
5 studies of vegetation like that in Vietnam, studies from
6 Thailand, from Puerto Rico, they all indicate that in
7 the case of Orange, most of the material disseminated
8 by the C-123 aircraft, about 94 percent, was intercepted
9 by that vegetation, which says that only about 6 percent
10 might have penetrated to the ground had there been ground
11 troops beneath that multi-canopy forest.

12 Six percent would suggest about 1.4 pounds
13 active ingredient per acre, which would be very comparable
14 to a Ranch Hand application in the United States. Isn't
15 that an interesting comparision?

16 Cuticular penetration of the herbicide has been
17 shown to occur within some 30 minutes. This was the
18 ester formulation, a non-water soluble formulation, which
19 rapidly moves within the plant.

20 These are data taken on actual studies of soils
21 with herbicide Orange, tropical soils. They were the
22 Philippine studies. The life is only 7 days for 2, 4-D,
23 14 days for 2, 4, 5-T. In some sites 3 gallons per acre
24 applications of Orange supported growth are very sensitive
25 plant species within a four month period which says that the

72 1 persistence is very minimal of the herbicides. We haven't
2 talked about TCDD yet.

3 The study by Crossby and Nash, and this study
4 by Crossby was done with herbicide Orange, the second case
5 by Nash, silivex was used for TCDD, and that essentially
6 is their conclusion by Crossby, that 98 percent of the
7 dioxin was degraded in less than six hours. This says in
8 the presence of sunlight.

9 It says also when it is on a surface, a molecular
10 layer, if it is down beneath many layers, it may not hold
11 true. Nash found about 86 percent was degraded in about
12 32 hours.

13 In the case of TCDD, there is minimal transport
14 within the plant. A number of studies have shown this.
15 Also there is negligible plant uptake of TCDD. Our own
16 Air Force study by Dr. Kerry at Beltsville have all shown
17 there is essentially no uptake of TCDD by plants. It is
18 not likely that new plants growing in contaminated soil
19 would have had dioxin in them for someone to come along and
20 eat.

21 Studies again by Crossby on soil showed about
22 20 percent that actually fell on the soil was degraded in
23 about six hours. Our own Air Force studies of sites where
24 heavy concentrations of purple had been applied in Florida,
25 and I will show those in just a couple of minutes, that

73 1 indeed there are live ones in that soil, half live ones
2 in that soil, could well be 1 year.

3 In the presence of the herbicide, once the herbicide
4 disappeared, we have found continued persistence of the
5 TCDD. Those are facts, folks. Those are facts. In the
6 soil, once in the soil, the dioxin is very persistent, but
7 it doesn't leach. It doesn't go up in the plants. In
8 order for animals to have been exposed. they would actually
9 have to dig into that soil, to go back to one of the comments
10 made earlier this morning.

11 If the dioxin got into the soil, presumably one
12 could come in contact by handling soil. However, the
13 concentration would be very, very minute as compared to
14 what originally was applied.

15 There are data from our Eglin Air Force studies
16 that show that it does bioaccumulate in animals, and I will
17 elaborate on those.

18 Pacer Ho, the study where the herbicide was
19 destroyed, this is Gulf Port, Mississippi, where the Air
20 Force stored some 15,000 drums, 55 gallon drums of herbicide
21 Orange for about seven years prior to the time that it
22 was destroyed in September of 1977.

23 In the destruction of that material, the
24 dedrumming operations, we had an excellent industrial
25 hygiene program in operation. Not only did we monitor the

74 1 air within the dedrum facility itself, but also within
2 the inventory.

3 Here is within the dedrum facility. Tops were
4 cut off the drums. The herbicide was sucked out. The
5 rest of the drum was dumped. As you will see here and
6 during that entire operation for many of those individuals
7 we had breathing zone units in operation.

8 How much herbicide would they have taken in
9 during their actual operation? We have those industrial
10 hygiene data, more samplers downwind.

11 Okay. In the dedrum operations we know from
12 actual breathing zone studies that these kind of values,
13 for 2, 4-D, 23.2, that would be micrograms per cubic
14 meter; for 2, 4, 5-T, 13.7.

15 Now you have a considerable order of magnitude
16 here for the dioxin determination. We did not detect
17 dioxin breathing zones, at a detection limit of 8 parts
18 per trillion in the air, 8 nanograms per cubic meter. The
19 TLV, the time limit value of these materials is 10,000
20 micrograms per cubic meter.

21 In the air downwind from the dedrum facility,
22 you can see the values there. Certainly the concentration
23 inside of the dedrum facility in breathing zones was much
24 greater than downwind from the dedrum facility, as one
25 might expect.

75 Likewise, the water that was all around those
1 facilities, these are data from the Johnston Island samples;
2 we did the same thing out on Johnston Island where we had
3 an inventory out there of about 25,000 drums. There was
4 a chance for water contamination. We were able to monitor
5 the water. You can see the amount of TCDD detected there;
6 non-detected for TCDD, but for 2, 4-T and 2, 4, 5-D, we
7 did find it.

9 Downwind, the same sort of values as one saw at
10 the Gulf Port. Well, Dr. Haber--he's gone--I will very
11 quickly have gone through Vietnam. I very quickly have
12 gone through exposure. I have some slides of Eglin, but
13 I know our timing is very critical. I will just say that
14 our study at Eglin Air Force Base has taught us a number
15 of things.

16 One, that the dioxin, the bulk of dioxin does
17 disappear very rapidly; about 97 percent of all the dioxin
18 applied down at the Eglin test site in northwest Florida
19 where 162,000 pounds of 2, 4, 5-T were applied on an area
20 of less than one mile, 97 percent of the dioxin disappeared.

21 Three percent that is persisting is that which
22 is beneath the soil surface, and it has continued to persist
23 for almost 15 years. Half life is very slow in that kind
24 of a situation. We see there that animals that feed on
25 the plants are not contaminated. Only those animals that

76 1 interact directly with the surface are contaminated.
2 The beach mice that go in and out of that soil have
3 concentrations of as high as 2.6 parts per billion in
4 their liver, yet after 70 generations of study in this
5 animal, we looked at them for 70 generations, we have not
6 detected changes in the frequency of the number of fetuses
7 per pregnancy. We have found no evidence of teratogenesis,
8 no evidence of mutogenesis; because the life of the animal
9 is too short, we have no data on carcinogenesis, but it
10 doesn't say it doesn't occur. The life of the animal was
11 too short.
12

13 What it does say, we have found the toxicity
14 symptom, however, at those concentrations. We find an
15 enlarged liver weight in the pregnant female--highly
16 significant--although we find no histological abnormalities
17 in any of the organ systems, including the liver, that we
18 have examined.
19

20 We have found no evidence of uptake by glands.
21 We have found no movement to the aquatic community, but
22 only in areas where there is erosion. It does not leach
23 by itself.
24

25 That is a summation. There are technical reports
available, open distribution on the Eglin studies. The
Vietnam data that I have presented, most of that is
available in the technical report that has been presented

77₁ to all of you on the Committee.

2 DR. SCHEPERS: Thank you very much, Dr. Young.

3 Are there any questions for either of these two doctors?

4 DR. MOORE: With regard to looking for populations
5 to follow up the possible long-term health effects, I am
6 inclined to want to look at the population that most likely
7 got the heaviest exposure. According to Major Young's
8 presentation, that population would be that which is
9 associated with the '62 to '64 timeframe, even though they
10 aren't part of the Operation Ranch Hand.

11 MAJOR YOUNG: They were.

12 LT. COL. WOLFE: But there were very few of them,
13 somewhat less than 100 people involved.

14 DR. MOORE: You have got a 20-fold increase in
15 dioxin.

16 MAJOR YOUNG: We are well aware of that, Doctor.

17 DR. SCHEPERS: We have time for a few short
18 questions.

19 MR. LARSON: I would like to ask about the
20 timeframe of the green cloud area of Seveso, Italy. I
21 understand some of the area is now beginning to be habitable
22 after what was it, two or three years since it was exposed.

23 Now how does this jive with what Dr. Young just
24 said?

25 MAJOR YOUNG: First, the dioxin was a totally

78 1 different source when it was put out as a herbicide.

2 It was put out with hydrogen right there. When it is
3 put out in caustic soda, there isn't a hydrogen donor
4 available right there, although there may be one when it
5 lands, so the way they were applied was totally different.

6 Dioxin is dioxin, but when it is applied in a
7 herbicide, its fate may well be different than when applied
8 in a caustic cloud. That is all I would point out.

9 MR. SMITH: Richard Smith--Major Young, was the
10 Air Force's Operation Ranch Hand so coordinated that it
11 was aware of the troop movements of the other branches of
12 the service?

13 MAJOR YOUNG: When an area was selected for
14 defoliation, that area had to be approved by the commander
15 of that area. You are talking about the Army commanders
16 would have been coordinated with, as well as the local
17 Vietnamese commanders. Documentation of a herbicide
18 mission was carefully done--not always. There could have
19 been times, uniquely as I pointed out, in my opinion,
20 where this might have not been true, but most times the
21 coordination was done.

22 As a matter of fact, in the '67 timeframe, it
23 had to go all the way up to Saigon and the upper echelons
24 for approval, and then leaflets were even put out in some
25 areas that were going to be defoliated.

79 1 MR. LINDLEY: Rusty Lindley--a lot of the Ranch
2 Hand was done along the borders to demarcate the borderline
3 between Vietnam, Cambodia and Laos, wasn't it, and also
4 what would be the effect--we used to see Monsoons pretty
5 heavily about four o'clock in the afternoon coming in from
6 Cambodia and Laos when we were operating on the border
7 areas--would that have any effect of picking up some of
8 the residual herbicides on the plants and exposing it to
9 troops that way?

10 MAJOR YOUNG: Demarcation was primarily done
11 with blue. As to could there have been a mission of
12 orange with an immediate rainfall afterwards, the answer
13 is certainly there could have been and probably was many.

14 However, because it was a water insoluble
15 formulation and because it penetrates so very quickly, there
16 was probably very little runoff that could have occurred.

17 That doesn't say it didn't. There may have been
18 situations where most of it might have, but I don't think
19 that would have been a normal situation.

20 MS. BEVERDORF: Cheryl Bevardorf--I wanted to
21 ask a question in terms of diagnostic procedures. You
22 mentioned quite a few that are used in terms of tracing
23 herbicides.

24 Has there been any test done on hair?

25 LT. COL. WOLFE: Not that I am aware of. Hair

80 1 has been done for lead and a lot of other metallic sort of
2 things, but I am not aware of anyone having checked it
3 for dioxin because it is basically fat soluable, and hair
4 is not likely a good spot.

5 MR. UHL: Dr. Young, two brief questions--one
6 maybe you touched on when I was out of the room. Do you
7 have a theory or perhaps even an explanation for data
8 that seems to show that dioxin is present in mothers' milk,
9 beef fat, and perhaps even meat of the shell fish from
10 Vietnam, number one, and number two, should we be concerned
11 with other toxic, if that is the right word and right
12 adjective here, isomers of dioxin that may have been
13 produced in the manufacture of 2, 4, 5-T?

14 MAJOR YOUNG. I am speaking for myself. In
15 terms of the mother's milk samples of Dr. Messelson, the
16 fish samples of Dr. Messelson and Dr. Brockman, two things
17 should be kept in mind. One is where were the samples
18 collected, and Art Westing has indicated those samples
19 were collected near Naval docks--very interesting, because
20 Pentachlorophenol could account for dioxin contamination
21 in those kinds of samples, so we don't know for sure that
22 first question says if it is dioxin. We don't know for
23 sure the source of that dioxin.

24 Dr. Messelson was the only one that did those
25 analyses. They were never confirmed by another laboratory.

81 1 I am not suggesting that his laboratory isn't the best, but
2 I am suggesting to you that the dioxin issue is so
3 complex that no two laboratories often come up with the
4 same data, and you must remember his analyses were done
5 when the instrumentation technique was new, and so I don't
6 know how much faith to put in on his data.

7 The Eglin data suggest that indeed dioxin can
8 get into the aquatic community, but it doesn't move very
9 far, only in erosion areas. We have never seen it move,
10 for example, in areas even where there is heavy dioxin.
11 In the silt we have never seen it move more than just a
12 short distance, hundreds of feet. To move 27 miles downstream,
13 and then to be present at that kind of concentration, 800
14 parts per trillion, would suggest that a massive quantity
15 of herbicide Orange would have been directly to the water
16 a very short distance upstream. There is no way to account
17 for those large concentrations.

18 MR. UHL: That takes care of the mother's milk
19 and the shellfish. What about the beef fat?

20 MAJOR YOUNG: The beef fat studies in the United
21 States would suggest there is only one positive, 60 parts
22 per trillion--Dr. Moore could be much more apropos to speak
23 on this than myself. That is an EPA study. I will back
24 off on it if I might.

25 MR. UHL: The other question was the other dioxin

82 1 isomers?

2 MAJOR YOUNG: There are many isomers available
3 in Pentachlorophenol, probably in 2, 4, 5-T dioxin, that
4 might be present there. You would have no more than 3 or
5 so isomers of the Tetrachlorodibenzoparadioxin, okay, the
6 2, 3, 7, 8 being the most toxic, and the most numerous
7 of the three tetra isomers.

8 We recognize that it is possible for the 2, 7
9 dibenzoparadioxin to be present for 2, 4-D, but its toxicity
10 is totally different than the 2, 3, 7, 8.

11 DR. MURPHY: Are there analyses of Agent Orange
12 and blue or whatever. for hexa?

13 MAJOR YOUNG: Yes. We have analyzed it, the hexa,
14 oxa, penta, tri. We only find three tetras really that
15 are present in Orange, and at very low concentrations; only
16 the 2, 3, 7, 8 being the most prevalent we find a tri,
17 and we find a di.

18 DR. MURPHY: You don't find any hexes?

19 MAJOR YOUNG: No, we don't. Dr. Kearney, you are
20 aware of 2, 4, 5-T analysis. I am not aware of any.

21 DR. KEARNEY: Well, there is an early analysis
22 done by Wolfson, Enzer and Thomas that said that there
23 was hexa in 2, 4-D, but we have been unable to confirm it.

24 MAJOR YOUNG. Right. I was aware of that.

25 DR. SCHEPERS: I think we have exhausted all our

83 1 questions, so we thank you gentlemen both again for your
2 contributions, and we will proceed with the position papers
3 and we will deal with No. 3, coordinators Dr. Walter Melvin
4 and Dr. James Allen, who couldn't be present, so I believe,
5 Dr. Lingeman, you should discuss it if you wish to do so.

6 I will re-read the question, which says of what
7 diagnostic value are the following procedures in assessing
8 possible herbicide toxicity, levels of dioxin in fat pad
9 biopsies, study of immune factors, study of chromosomal
10 patterns, study of liver microsomal enzymes? What additional
11 diagnostic procedures should be considered?

12 May I ask volunteers from the Committee to
13 comment on level of dioxin in fat pad biopsies?

14 DR. MURPHY: Well, I gather that the answer to
15 this question was prepared largely by Dr. Allen just
16 because it has his name at the top of the page, and I think
17 his conclusion was the presence of dioxins in the tissue
18 indicates exposure. However, its absence does not rule
19 out previous contact is the answer to that part of the
20 question.

21 DR. SCHEPERS: Can we get anything further from
22 that position? Any contrary statements?

23 DR. MURPHY: I would also, with regard to the
24 area of hydrocarbon hydroxylase or the microsomal enzyme,
25 it is again, as he points out, rather non-specific. It

84 1 could indeed be a result of exposure to dioxin, but there
2 are so many other things that would also induce that
3 enzyme, or that group of enzymes that it would be rather
4 difficult to say it was cause and effect.

5 DR. SCHEPERS: Would it be worthwhile, therefore,
6 in the opinion of the Committee to pursue that further
7 if it is so diffuse?

8 DR. MURPHY: If you are dealing with current
9 exposures, I think it might be something that, well, it
10 might be something you would design into a clinical study.

11 If it is past exposure, long past exposure, I
12 doubt very much whether it would have value just because
13 I think probably the effects would disappear. It is a
14 reversible effect.

15 DR. LINGEMAN: It is too non-specific.

16 DR. MURPHY: It is non-specific. The chromosomal
17 aberrations, I don't know. Somebody else should comment
18 on that.

19 DR. SCHEPERS: I believe that Dr. Moore told us
20 at lunchtime that there is some work that is being resumed
21 by his department on the Aims test, so we will wait for
22 the next meeting to hear from him since he is no longer here.

23 What about any additional diagnostic tests? Are
24 there any that can be suggested by the Committee at the
25 present time? I might mention that we are constantly

85 1 besieged by the veterans asking us to do something to
 2 diagnose their condition, and we don't know what to do.
 3 We just have no diagnosis. No one comes with specific
 4 tests. They have been told that there is a specific test
 5 and that we don't seem to find that specific test other
 6 than dioxin, and we have already discussed that problem.

7 DR. MURPHY: Just to comment, as we discussed
8 earlier today, demonstrating within a certain level the
9 presence of the dioxin would be, of course, a test of
10 dioxin exposure. Chances are in my view, and I gather
11 this is shared by a number of other people, that you would
12 not find after a prolonged period after exposure ceased,
13 or the evidence of this which would not confirm or deny
14 previous exposure, nor confirm or deny that any condition
or complaint was associated with previous exposure.

16 Would you agree with that? Sad as it may seem,
17 there are very few chemical exposures to which any particular
18 measurement of any particular clinical condition is solely
19 diagnostic of that chemical exposure. We just don't know
20 enough about I guess how they cause their effects to isolate
21 them out, so I don't know that there is any specific
 diagnostic procedures.

23 DR. SCHEPERS: May I think ask that if any of
24 the members of the Committee or indeed anybody present
in this room were to hear or to read of a test that might

86 1 be applicable to our area of inquiry, that you would report
2 it to us at the next meeting so that we can consider it?

3 DR. MURPHY: Rather than ending my comment on
4 such a negative point, it would seem to me that again
5 there is a set of syndromes in which I suppose if a
6 certain number were common, this would lead to a presumption
7 of possible association.

8 DR. HALPERIN: Could I make a comment? The
9 question reads of what diagnostic value are these things.
10 We don't know what their prevalence is in a known exposure
11 situation, for instance, in one of the occupational
12 exposures.

13 If we don't know what the probability is of these
14 in known exposures, to do them in a diagnostic setting
15 doesn't to me make much clinical decision theory kind of
16 sense.

17 DR. SCHEPERS: Possibly after you have studied
18 the Arkansas data, you might be able to tell us more about
19 them. We have to wait for a solution.

20 Dr. Lee, did you want to make any further comment
21 on the diagnostic value of the fat biopsy for the record?

22 DR. LEE: None whatever, thank you.

23 DR. SCHEPERS: Let's proceed to question five
24 then, which was what topics should be included in educational
25 curricula being developed to upgrade knowledge of potential

87 1 herbicide toxicity among VA staff members? This was
2 assigned to Jack Griffith. Dr. Gross, did you get a chance
3 to go over, review with Dr. Griffith what he said?

4 DR. GROSS: The answer is no, sir. That is the
5 first time I have seen his response, right here. You all
6 can read this as well as I can.

7 Jack was thoughtful enough to have brought along
8 a training course, a package that we used in training
9 health professionals. As you can see, it is that big. It
10 contains some literature, a bunch of slides and tapes, and
11 you would be welcome to have that to see whatever use
12 this could fulfill.

13 DR. SCHEPERS: Could you leave it with Mrs.
14 Williams so that we can study it and see what practical
15 use can be made of it?

16 You know, Dr. Gross, that we are going to have
17 an educational exercise on Thursday and Friday for about
18 172 doctors, and we will see if any of that is even applicable
19 for that.

20 Certainly the presentation such as Dr. Wolfe
21 and Dr. Young made will be extremely useful to our staff.

22 Are there any other comments from the Committee
23 on this topic? We have drawn a blank sort of so far. Any
24 from the Steering Committee? None.

25 MR. HIGHT: Henry Hight, Board of Appeals-- from

88 1 what you have said, and I don't have a report on that, is
2 the VA continuing with the fat biopsy study?

3 DR. SCHEPERS: Dr. Lee will answer you.

4 DR. LEE: We have closed the accession of case
5 material at 34. We are now busy processing the data, also
6 waiting for the chemist to do his thing.

7 DR. SCHEPERS: Once we know what the answer is
8 from that study, we will know what to do next.

9 DR. LEE: I hope!

10 MR. HIGHT: Thank you.

11 DR. SCHEPERS: Let's proceed to question No. 6.
12 I am trying to beat a time limit because we have another
13 20 minutes for our meeting and six more questions to
14 consider.

15 This was a position paper on what sorts of animal
16 studies would make the most important contributions to
17 understanding the potentially toxic effects of herbicides
18 in humans?

19 The coordinator was Dr. Allen. The paper was
20 written by Dr. Allen. Has anybody had an opportunity to
21 study it? Would any of you like to comment on it? Dr.
22 Murphy, you are an experienced animal experimentalist.
23 Would you like to comment?

24 DR. MURPHY: I have a few lines emphasized in
25 yellow color here, but I didn't really have too much in the

89 1 way of comment. He does comment that he would select an
2 animal model which responded to herbicides in a manner
3 similar to man and was as closely related phylogenetically
4 as possible. "In our work, we have found the rhesus monkey
5 to be a suitable model."

6 I believe he is referring to the rhesus monkey in
7 PCB work, or maybe it is dioxin. I don't know how
8 Dr. Allen judged it was a suitable model because I don't
9 know that there is enough data in man to say that man
10 responds similarly, and certainly in this I think there are,
11 in the Seveso circumstance, one of the surprising things
12 I guess is that it wasn't any more severe, acute, apparently
13 systemic toxicity experienced by man than there was in
14 view of the rather severe effects on a number of laboratory
15 animals.

16 Of course, they were eating grain and forage and
17 so forth, and so I agree with the principle, but I don't
18 know what animal to select.

19 DR. GROSS: You mean domestic animal?

20 DR. MURPHY: I don't know what other--I mean non-
21 human animal. I don't know what non-human animal model
22 best represents humans. Do you?

23 DR. GROSS: No.

24 DR. MURPHY: For this particular study?

25 DR. GROSS: No.

90 1 DR. MURPHY: The principle is well taken,
2 but I don't know how to answer that.

3 DR. SCHEPERS: The statement was made a little
4 earlier by Dr. Young that--what was the little animal
5 called that burrows?

6 MAJOR YOUNG: The beach mouse.

7 DR. SCHEPERS: The life is too short for you to
8 be able to do a carcinogenesis study. Is that really true?

9 MAJOR YOUNG: The life is short only because of
10 high predation. At the Eglin test site we find that many,
11 many other animals feed on the beach mouse and in data
12 that I did not show you, we put animals into that site,
13 beach mice, and then came back at 90 day intervals and
14 we found that for the dioxin levels to reach the same level
15 as the animals in the environment, indigenous, it was
16 about 90 days, but we also found from that study that the
17 half life of the animal was very short because other animals
18 preyed on it so rapidly.

19 DR. SCHEPERS: Could that animal be placed in a
20 laboratory where it would be protected?

21 MAJOR YOUNG: We have raised it in the laboratory
22 for as long as two and a half years.

23 DR. SCHEPERS: Could you produce any health
24 effect?

25 MAJOR YOUNG: We did not at the exposure rates

145
91 1 that we gave the animal, which was comparable to those
2 on the sites, but our population for a carcinogenesis
3 study was very small and we felt it to be so preliminary
4 as to be unpublishable.

5 DR. SCHEPERS: You said you went through 70
6 generations?

7 MAJOR YOUNG: In the field over the years of
8 following those animals we began in 1970 and our last
9 sampling of that population occurred in April of this year.

10 DR. SCHEPERS: Life wasn't too short if there
11 were 70 generations for the animal to reproduce obviously,
12 so were there any teratogenesis effects or would that also
13 be destroyed by predators?

14 MAJOR YOUNG: Conceivably if you are going to
15 examine for teratogenesis, you have to know when
16 fertilization occurred, and because these are field
17 populations, you don't know exactly when fertilization would
18 occur and since how we examine the burrow is to dig up the
19 nest and examine the female with the offspring, we have
20 done this many, many times. We have never seen cases of
21 teratogenesis of the 180 or so animals that we have examined.

22 DR. SCHEPERS: Did I hear somebody say today
23 that somebody mentioned that dioxin is excreted in the
24 spermatic fluid?

25 LT. COL. WOLFE: That has been hypothesized, but

92 1 no one has ever gathered enough material to have it
2 analyzed I guess.

I am not aware of any studies. That has been hypothesized purely from a theoretical chemistry standpoint, but no one has done that work yet.

6 MR. LINDLEY: I don't know what its significance
7 is, but there was some work accidentally done with dioxin
8 at the Jackson Laboratory in Bar Harbor, Maine, in an
9 experiment with pin worms that had considerable adverse
10 effects on their mice there that somebody might look into.

11 DR. SCHEPERS. Yes. We have seen most of those.
12 We are still trying to look for that ideal animal. Mr.
13 DeYoung?

17 I would like to read a statement that you will
be seeing in print later this week.

19 "During July and August, 1972, I was assigned
20 to the K-9 Corps at Phu Cat Air Force Base. During this
21 period, many of our dogs came down with a mysterious illness."
22
23 This is written by an Air Force MP guard dog handler.

23 "The symptoms were that at first the dogs became
24 very lethargic and vomiting a lot. Then some of the dogs
25 who weighed 100 pounds or so suddenly lost weight drastically.

93, I mean some of them lost over 50 pounds in less than two
2 weeks. The dogs had also developed a change of personality.
3 They became more aggressive during this period. The ones
4 still well enough to go out on patrol turned on their
5 handlers, were very hyper, and seemed very confused. Later
6 when we took these dogs to Cam Rahn Bay, they developed
7 severe rashes and blotches of hair fell out. Nobody really
8 knew what caused this.

9 "At the same time, almost all of the K-9 personnel
10 got a sudden, severe case of diarrhea and abdominal cramping.
11 There were lines of people so long that other facilities
12 had to be made available to us.

13 : "Many of the dogs died after getting what seemed
14 like a sudden and last symptom--a bloody nose. Once the
15 dogs got the bloody nose, they died.

16 "I was quite aware of these symptoms because my
17 dog contracted them also and could not work, so I was
18 assigned duties which included caring for these dogs. My
19 dog eventually got better, but was never quite the same. He
20 remained very slow and seemed confused all the time. I
21 was so attached to him I would never have turned him in
22 for another dog," signed by a veteran who was there in
23 1970, '71.

24 I would submit that the Air Force has probably
25 kept excellent records on these guard dogs. They are a major

94 1 investment. I have spoken to a number of the handlers
2 who were over in Nam. They have very similar stories to
3 report of sudden mysterious illnesses with their dogs,
4 hair falling out and rare blood disease, quote, unquote.

5 I submit there is enough verbal similarity there
6 that it should be researched intensively. I think Lakeland
7 Air Force Base would be the place to start.

8 DR. SCHEPERS: We will ask the Department of
9 Defense officials to check this. Thank you.

10 DR. LINGEMAN: They are all sentry dogs that
11 died during that period that you are talking about. Tissues
12 were sectioned at the Armed Forces Institute of Pathology,
13 and I will check today or tomorrow and find out if one of
14 your questions can be answered because the dogs were des-
15 troyed it is my understanding when they finished their term
16 of duty there. They were not brought back to the United
17 States.

18 However, those that died, some tissues I know came
19 from Vietnam into that registry and I will check that out.

20 DR. GROSS: In veterinary pathology they call
21 them military working dogs.

22 MR. DE YOUNG: It strikes me as strange that
23 none of those dogs, almost without exception, were brought
24 back from Vietnam. Most of them were destroyed over there
25 because they were unusable for any practical purposes.

95 DR. LINGEMAN: They were destroyed, but the ones
1 who died, there are at least 600 I think, something like
2 that, on file, not just from Vietnam but all the sentry
3 dogs wherever they were.
4

5 DR. SCHEPERS: It sounds very valuable to me.
6

7 DR. HOBSON: Is there any evidence that those
8 dogs were exposed to any of the herbicides, specifically
9 those contained in dioxin?
10

11 MR. DE YOUNG: Logical evidence from the veterans'
12 statements, if you assume they would have worked the
13 perimeters around the wire and were in that general area,
14 that is the area that would have been defoliated by the
15 hand-operated units.
16

17 DR. HOBSON: Not with Orange, as I understand it.
18

19 MR. DE YOUNG: I have no opinions on that at all.
20 Captain Young would be the person to talk to about that
21 I'm sure. It is unquestionable herbicide was used. We
22 have many photographs from the vets who brought those
23 photographs back that showed dead brush by the wire.
24

25 DR. SCHEPERS: Thank you very much, Mr. De Young.
26 We will look into that.
27

28 Now the 7th question, if I may go on, is
29 what additional data should be included in the VA's
30 herbicide registry over that currently collected, and
31 Robert Lenham was the coordinator and he thought that our
32

96 1 registry was perfect, which was very flattering.

2
3 MR. LENHAM: I don't want to burst your bubble,
4 but just in the discussions today, what we did, we went
5 out to our field personnel and asked them for their
6 comments also as representatives, and at the time they
7 felt that the VA was making the right approach upon
8 arranging to get as much data as possible.

9 Now this morning it was learned that apparently
10 an epidemiologist from John Hopkins, Dr. Lilienfeld, being
11 an expert in his field, has questions that possibly we
12 should be asking. Maybe we should include in this registry
13 as far as information that we should gather, and I would
14 suggest then and recommend that if this be the case, that
15 we go ahead and include those questions in the registry.

16 DR. SCHEPERS: That we shall do. Ms. Kilduff
17 has returned. We have been discussing your registry. You
18 heard what he said?

19 MS. KILDUFF: What are some of the items?

20 MR. LENHAM: Dr. Levinson didn't really give us
21 that information. I would assume he would have it, and I
22 just want to point out that if we are getting information
23 and the Doctor has said it, I would suggest that you do that,
24 that we follow suit and put that in with the registry.

25 DR. SCHEPERS: If there are any brainwaves which
26 come from any of you as to what we ought to really put into

97 1 our inquiry, documents, please send them into us and we
1
2 will give them all the consideration that is due to them.

3 Let's proceed to question 8, which was what are
4 the known facts on the persistence of dioxin and the
5 herbicides used during the Vietnam War in water, soil and
6 the atmosphere? Can these media serve as a source of
7 human exposure to dioxin and herbicides?

8 Dr. Kearney was the coordinator. Dr. Kearney,
9 would you like to comment?

10 DR. KEARNEY: First of all, we discussed the
11 amounts used there. We have talked about the persistence
12 of these four materials in soil, 2, 4 being the least
13 persistent, and TCDD being one of the more persistent
14 materials.

15 We talked about concentrations in air. We talked
16 about the persistence and concentration of these materials
17 in water, and then we tried to talk about routes of human
18 exposure.

19 I don't know from any data we have from the
20 domestic United States that we can get any clear idea of
21 what the human exposure might be. I want to talk to the
22 Air Force a little more closely to see if they might have
23 some impression as to what the inhalation exposure might
24 be, but I don't think we can calculate it.

25 We tried a number of calculations, and they

98 1 weren't very successful. I guess that's all I have to
2 say.

3 DR. SCHEPERS: A question for Dr. Young--in
4 your slide presentation, you said that the penetration
5 through the skin was 30 minutes?

6 MAJOR YOUNG: That is cuticular on leaf surfaces;
7 in the case of humans, the study we have of 2, 4-D, the
8 penetration was about 5.8 percent of the applied dose and
9 that was a calculation based upon following the 2, 4-D
10 acid in the urine, and it was a five day collection period
11 showing that only 5.8 percent was absorbed.

12 Now how good a study was done on six people--

13 DR. SCHEPERS: What about the persistence of
14 dioxin in clothing and utensils? What can you inform us
15 with respect to that?

16 DR. KEARNEY: In the lab we have to get rid of
17 the glassware. It can become contaminated after a while.
18 We melt it, bury it. We don't want to keep it in the room.

19 MAJOR YOUNG: I would suggest indeed that
20 contaminated clothing was a big problem in Vietnam with
21 the Ranch Hand personnel.

22 DR. SCHEPERS: You mentioned the shoes.

23 MAJOR YOUNG: The shoes, the pants--a continual
24 problem.

25 MR. LENHAM: Wouldn't this also be a problem with

991 the troop personnel?

2 MAJOR YOUNG: Had they received a direct application,
3 then perhaps you would be correct. We haven't done studies,
4 for example, of putting dioxin on leaf surfaces and walking
5 through it to see what amount might go off, but its
6 immobility in water would suggest that if it is on the
7 surface of the clothing, the likelihood of it getting in
8 probably would be fairly small. That doesn't say it can't
9 happen, and if they reversed their underwear perhaps maybe so.
10 I don't know. We do know that changing clothes all the
11 time was not a frequent occasion for the battle troops
12 or the troops in the field. They might wear the same clothes
13 for more than one day certainly.

14 MR. DE YOUNG: There was an episode of a plant
15 accident in England where the workers in a phenol plant
16 of some sort were contaminated with dioxin and by going
17 home after the work at the plant was done, and cleaning
18 up for that day, their family got contaminated as well.
19 Some of the women had an outbreak of chloracne after washing
20 the clothing which leads to the next logical question, if
21 indeed the Ranch Hand clothing may have been contaminated,
22 does this possibly explain the women that we have seen
23 who are wives of the Vietnam veterans and yet manifest
24 symptoms themselves?

25 MAJOR YOUNG: Let me clarify the accident. This

100 1 was in Derbyshire, England, in 1968. This is the incident
1
2 where there had been an explosion in the factor and these
3 individuals went in and were cleaning an area where there
4 was gross contamination of caustic soda and TCDD, and then
5 they took their clothes home for their wives to wash them.
6

7 That is a totally different picture than if one
8 talks about having herbicide and TCDD together. They are
9 not comparable at all. Not only that, but you are talking
10 gross exposure. The Derbyshire situation probably had
11 well over 2 kilograms of TCDD involved in a small
12 confined area. Most of the men developed chloracne during
13 the time they were working with it.
14

15 Not surprisingly, the women who handled the
16 clothing came down with it because apparently there was
17 a heavy concentration of TCDD.
18

19 MR. DE YOUNG: Are you saying no then?

20 MAJOR YOUNG: I am saying the likelihood of
21 having orange on you and doing that is a different story.
22

23 MR. DE YOUNG: How about purple?

24 MAJOR YOUNG: In the 1962 through '64 time period,
25 it is much more likely, surely--again, all the more reason
to perhaps focus in on that early group.

26 MR. DE YOUNG: I wouldn't say it is widespread,
27 but we have a number of women, interestingly enough, four
28 or five of them wives of helicopter pilots, all of whom were
29

101 1 shot down on herbicide missions, and many of them grounded,
2 of course, in freshly sprayed patches. Those are some of
3 the more seriously sick of the cases as far as the men
4 themselves are concerned.

5 Three or four of their wives also have skin
6 eruptions and have had the female problems that come with
7 a woman being exposed.

8 MAJOR YOUNG: Was the woman in Vietnam?

9 MR. DE YOUNG: Not at all. The woman never
10 left stateside, and it has got us going up a tree, needless
11 to say.

12 LT. COL. WOLFE: It seems like he would have to
13 bring a lot of dirty clothes home.

14 MR. DE YOUNG: We are casting around for an
15 explanation of how this, whether this is psychosomatically
16 induced by the husband's illness or what.

17 DR. SCHEPERS: This problem of contamination of
18 clothing, utensils, is receiving growing attention in the
19 present era, and it cannot be minimized, and it certainly
20 is a factor possibly in the military situation in Vietnam
21 so we will study it some more and see what can be had.

22 I hear no other great enthusiasm about this topic,
23 so we will go on to question No. 9. Dr. Lingeman responded
24 to the question what medical tests should be utilized to
25 help establish a diagnosis of chronic herbicide-induced

102 1 toxicity among Vietnam veterans? She wrote an M. D.
2 thesis here I believe.

3 DR. LINGEMAN: I apologize for the length.

4 DR. SCHEPERS: It was beautiful, but I hope you
5 won't read all of it this evening. Could you comment some
6 more on that?

7 DR. LINGEMAN: Dr. Wolfe very nicely provided
8 a background. What this is is the right side of your
9 slide where you pull out all the stops and do a research
10 project.

11 However, we are dealing with a lot of unknowns,
12 and I would suggest we consider certain groups of these
13 people as research subjects and perhaps enlist a research
14 institute, for example, the National Institute of Mental
15 Health might be interested in developing a suitable set
16 of standardized tests to test for psychiatric symptoms.
17 Perhaps our National Institute of Neurologic disease might
18 be interested in developing an appropriate protocol for
19 evaluating the neurologic problems.

20 There is an addendum for the neurologic examination.
21 Neurologists not only advised nerve conduction velocity
22 studies, but there is also the possibility of some nerve
23 and muscle biopsies. It would possibly be done only in
24 specialized situations, but these are available.

25 I think we have to realize that these veterans

103 1 were before, during and after the Vietnam war exposed to
2 a great number of other things which are very likely to
3 cause some of these same types of syndromes that we are
4 talking about to sort out a medical syndrome that specifically
5 applies to dioxin would be extremely difficult, but I
6 think we can maybe on some people, not the total population,
7 but we could do some very exhaustive studies and perhaps
8 as pilot studies come up with some answers, some things
9 to follow through down in the greater population, concentrat-
10 ing on those systems which we believe are most likely to
11 have been damaged by this material.

12 DR. SCHEPERS: Dr. Wolfe commented on the scarcity
13 of neurologists in the Air Force. The Veterans Administration
14 has a general supply of neurologists, not all that we
15 need, but perhaps enough, but tests like electromyograms
16 and nerve conduction velocities can be done at any Veterans
17 Administration hospital because they are done in our
18 rehabilitation medical services, and they all have the
19 instruments for that, so that would be a practical thing,
20 not difficult to do on a Vietnam veteran, so we will
21 consider including that in our protocol.

22 Any other comments pertaining to question No. 9?

23 DR. HALPERIN: Yes. The addendum that you just
24 made should clearly be stated because in reading this, it
25 was not clear to me that we were recommending the following

104 1 special thing be done, on all veterans claiming exposure
2 to herbicides from Vietnam, including nerve biopsies and
3 testing, before we even do renology consulting and so
4 forth.

5 I think I understand your point, the difference
6 between clinical practice and experimental research, but
7 that is not clearly stated in the position paper.

8 DR. LINGEMAN: Perhaps I should follow Dr. Wolfe's
9 line of thinking because to separate out what is practical
10 from what is research and maybe somewhere in the middle
11 between these two extremes would be good.

12 DR. SCHEPERS: Just to reassure you, Dr. Halperin,
13 I have seen nerve and muscle biopsies done on some of
14 these Vietnam veterans who are under study.

15 R. HALPERIN: Under study, comma, under study,
16 is this someone coming into the VA for some unrelated
17 disease who says that he may have been exposed, and all of
18 a sudden he is down the buzz saw of some tremendously
19 invasive procedures?

20 DR. SCHEPERS: This would be done only on people
21 who are obviously very seriously ill who are hospitalized
22 who have been studied for all other possible explanations
23 and none found, and then the doctors resort to these rather
24 unpleasant and very expensive procedures.

25 I know they do them. Thus far we have had no

105 1 clues from any of this information, but it certainly is
2 an experiment.

3 DR. LINGEMAN: It is too strong a statement
4 then, all veterans--selected veterans?

5 DR. SCHEPERS: Just for clarification, the
6 staff of the central office will edit all these position
7 papers, consolidate the comments that we received today,
8 with the position papers, and possibly add a few sentences
9 where we think it is relevant, and then re-present them to
10 the members of the Committee for further consideration.

11 Is that the game plan?

12 DR. CASTELLOT: Yes. In view of the time, you
13 probably ought to consider the possibility that those
14 papers which were not covered by the Committee members, if
15 they have any pertinent comments which are felt to be
16 important, they should submit them to the central office
17 to Mrs. Williams. We will then put all these things
18 together into a revised packet of position papers sent to
19 the Committee for their review before any further adoption
20 is carried out.

21 MR. HIGHT: Since the Administrator has indicated
22 that any veteran who thinks he was sprayed or exposed
23 shall be given a physical or examination, as they put it,
24 should not then these examinations be put into two or three
25 different classes--those who have symptoms and those who

106 1 merely say I think I was exposed, and I want to know
2 whether anything is wrong with me, so you are going to
3 have to put two different classes of examinations on those
4 people.

5 You are not going obviously, as the Doctor
6 pointed out here, that you wouldn't go into the deep
7 examinations that might hurt someone if he has no symptoms
8 at all.

9 DR. SCHEPERS: I agree with you absolutely, and
10 I think we will write recommendations along those lines,
11 Dr. Castellot, to have sort of a circular spelling out the
12 details.

13 DR. CASTELLOT: This whole thing needs to be
14 reviewed. I think that is a good point.

15 MR. LINDLEY: If you don't have any valid
16 diagnostic tests at this point, what is the purpose of
17 telling the veterans to come in and be tested?

18 DR. SCHEPERS: Because medical diagnoses,
19 practically all medical diagnoses are made by reviewing
20 the total spectrum of the patient's condition and deducing
21 from that collected information a diagnosis.

22 It is sometimes 100 percent accurate, sometimes
23 95 percent accurate. We are hoping that by doing it in
24 like manner for the present problem that we will get those
25 two diagnoses.

107 1 There is no specific test, for instance--in
2 pernicious anemia you can do a blood count and make your
3 diagnosis. There is no blood count to tell you about
4 dioxin poisoning.

5 MR. LINDLEY: I think it is very important that
6 that point be made clear to the veterans, that they will
7 try and assess what problems they might have, but that the
8 VA cannot definitively detect dioxin.

9 DR. SCHEPERS: That is a good point.

10 MR. LENHAM: If the veterans that are being
11 tested now are given the tests that you recommend and
12 this information is put in the herbicide registry and then
13 if later on down the road we find certain specific
14 examinations which would be a pretty good clear indication,
15 give us a pretty good clear indication to us whether or
16 not a given individual, given veteran was exposed to dioxin,
17 would the VA maybe attempt to re-contact the early veterans
18 that had been examined to maybe let them go through this
19 examination also?

20 DR. SCHEPERS: That is our standard procedure, yes.

21 MR. LINDLEY: This is sort of an irrelevant
22 point, but a lot of veterans are using Agent Orange as a
23 lead into possible personal adjustment or psychological
24 problems they might be having as a result of their military
25 service, and there probably should be some coordination

108 1 with the readjustment counselling program for veterans
2 that might need assistance in that area, and it is also a
3 good way to avoid some of the stigma that is associated
4 with psychological problems in Vietnam veterans.

5 DR. SCHEPERS: We agree with you absolutely.
6 It is just a little bit difficult to get them all
7 together in hospitals and this is the reason for our
8 conference here with our doctors.

9 If I might clarify it again, we have asked one
10 doctor for each one of 173 hospitals to come in this week
11 and some of the members of the Committee who are able to
12 be with us on Thursday and Friday will discuss with our
13 doctors how best to handle the veteran, and this point
14 will again surface during that discussion.

15 MR. LARSON: I thought of three possible modes
16 of entry of dioxin in the husband and wife cases--one, a
17 possible exhaling of the husband's breath, could the wife
18 foreseeably inhale the husband's breath, and secondly,
19 saliva; thirdly, are there any organisms such as viral
20 organisms or bacteria that could ingest, perhaps selectively
21 ingest the dioxin and be transferred to the spouse?

22 DR. SCHEPERS: Those are all three new ones to
23 me. Any comments from the Committee? Certainly it is on
24 the record. We will think about it. Thank you, Mr. Larson.

25 Let's go on to question No. 11. We are crowding

109

1 the time, and I notice that question 10 has no position
2 statement, so we can pass it. Eleven is by Moore and
3 Thiessen. Neither of them are here now. Would you prefer
4 that we discuss this at the next meeting? We will do it
5 by mail.

6 DR. CASTELLLOT: Dr. Haber's view is that those
7 papers which are not discussed at this time by the
8 Committee should be reviewed by individual Committee members.
9 If they have any changes or comments, they should submit
10 them to Mrs. Williams as soon as possible. We will get out
11 a timetable in that regard as soon as we can.

12 DR. SCHEPERS: We have Dr. Murphy here, so let's
13 do the last one on question 12. To what extent is information
14 potentially available on the effects of Agent Orange on
15 the indigenous Vietnam population?

16 Dr. Murphy, any more you want to add?

17 DR. MURPHY: I have nothing more to add, just
18 re-emphasize the question is one that can only be speculated
19 on. It is not really a position paper, but it would seem
20 to me that another group of high exposure, potentially
21 high exposure people are natives of the island of Vietnam,
22 and the problem of identifying, following them, et cetera,
23 is probably much greater than that for military personnel,
24 the U. S. military personnel, but nevertheless, I don't
25 think they should be excluded.

110 1 The rest of it is international politics. I can't
2 speculate on that.

3 DR. SCHEPERS: Is it not true that some of the
4 Vietnamese personnel participated with the United States forces
5 in Ranch Hand and other similar operations?

6 MAJOR YOUNG: In the early years of the Ranch
7 Hand program, '62 through '64, there were a few Vietnamese
8 that worked with Air Force personnel in loading the aircraft
9 and this would have had to have been almost done exclusively
10 by hand. We didn't have any big pumps or automated systems
11 for transporting the herbicide by hose networks, so it was
12 all done by hand--a very slow, tedious process.

13 There were Vietnamese involved. However, in
14 '64, late '64 through '66 time period, there were a lot of
15 Vietnamese, the National Academy of Science talked about
16 a group of at least 50 individuals that worked on the drum
17 handling operations.

18 After 1967, late '67, '68, and especially the '69
19 timeframe, we got away from using Vietnamese primarily
20 because of the security problem, but there was a period
21 in there where there were Vietnamese that were involved
22 in handling, and I would also point out there were many
23 women. As a matter of fact, most of the Vietnamese that
24 handled them in those years were women.

25 DR. MURPHY: You also have children involved as

111 1 a community exposure, too.

167

2 MAJOR YOUNG: Very definitely.

3 DR. SCHEPERS: We discussed this topic briefly
4 with the Vietnamese doctor who came to visit us, Dr. Tung,
5 and he wasn't very knowledgeable of this aspect because
6 he is a North Vietnamese and he didn't know what we did in
7 the south, but hopefully when their country is reunited,
8 they will study their own people and discuss it further.

9 DR. MURPHY: Did I understand earlier today
10 there was a report or you had a report from this doctor
11 that you mentioned?

12 DR. SCHEPERS: He came to see us on Dr. Haber's
13 invitation and gave a presentation to our staff, discussed
14 what he knew about the subject. There is no formal report.

15 DR. CASTELLLOT: No. Dr. Haber indicated this
16 morning, Dr. Murphy, that he would try to get what data
17 is available in terms of that visit and submit it to the
18 Committee for their review.

19 DR. MURPHY: I noted that in my mind and I thought
20 why didn't I have this if I am asked to write a position
21 paper on it.

22 MR. DE YOUNG: While we are on the subject of
23 populations, Dr. Schepers, has it been considered that we
24 are currently every day now taking in quite a few Vietnamese
25 who are being taken in through immigration in the boat

112 1 people campaigns, and I would suggest that we have here a
2 very good population for study as well.

3 I would suspect that the documentation of these
4 people coming in is being fairly well done by the Immigration
5 Service.

6 DR. CASTELLOT: I would think the difficulty we
7 are experiencing with our military population as has been
8 expressed earlier, if it is as difficult with those people,
9 I think it would be more difficult with the Vietnamese
10 coming in.

11 DR. SCHEPERS: I feel a little despondent about
12 that subject myself. My impression is that these boat
13 people are chiefly from downtown Saigon anyway. Many of
14 them are Chinese. I doubt whether they were involved with
15 war to the extent the issue that we are trying to
16 address would require, but we will of course take cognizance
17 of any information that comes to us.

18 The last question was one I had to take care of.
19 We did take care of it by asking Mr. Cleland to write to
20 the Secretary General of the United Nations. We do know
21 that letter was sent off. We have had no reply, so we have
22 no comment for you on that subject, but we will forward it to
23 you if we do get a reply.

24 That brings us to the end of our meeting, unless
25 there are other questions and answers that you wish to be

113 1 involved with. Mr. De Young?

2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. DE YOUNG: Just a short statement--in the interest of maintaining credibility for the entire scientific community, I would like to make it a matter of record that the National Veterans Task Force at this point would support an outside study of the Ranch Hand personnel, as I say, in the interest of making sure that everyone to whom the facts are put when the study is over will accept the facts, and that it not be a partisan study or an in-house study either by VA or the Air Force.

I would suggest in the interim until a better name is suggested that NAS be asked to do that study, the National Academy of Sciences.

DR. SCHEPERS: We thank you for your suggestion. Any further comments? If not, we will declare this meeting adjourned. Thank you very much for your participating. The next meeting will be announced in the mail.

(Whereupon, at 3:50 p.m., the meeting was adjourned, to be resumed at an undetermined date.)

1 REPORTER'S CERTIFICATE
2
3

4 DOCKET NUMBER:

5 CASE TITLE: Advisory Committee on Health-Related Effects of
6 Herbicides

6 HEARING DATE: September 24, 1979

7 LOCATION: Washington, D.C.

8
9 I hereby certify that the proceedings and evidence
10 herein are contained fully and accurately in the notes
11 taken by me at the hearing in the above case before the
12 VETERAN'S ADMINISTRATION13 and that this is a true and correct transcript of the
14 same.

15

16

17

Date: September 24, 1979

18

19

Official Reporter
Acme Reporting Company, Inc.
1411 K Street, N.W. Suite 600
Washington, D.C. 20005

22

23

24

25